

**THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES**

**AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY**

convenes the

EIGHTEENTH MEETING

**CAMP LEJEUNE COMMUNITY ASSISTANCE**

**PANEL (CAP) MEETING**

DECEMBER 9, 2010

The verbatim transcript of the  
Meeting of the Camp Lejeune Community Assistance  
Panel held at the ATSDR, Chamblee Building 106,  
Conference Room B, Atlanta, Georgia, on Dec. 9,  
2010.

STEVEN RAY GREEN AND ASSOCIATES  
NATIONALLY CERTIFIED COURT REPORTING  
404/733-6070

C O N T E N T S

Dec. 9, 2010

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS CHRISTOPHER STALLARD	5
WELCOME FROM DIRECTOR NCEH/ATSDR DR. CHRISTOPHER PORTIER	7
CAP UPDATES/COMMUNITY CONCERNS CHRISTOPHER STALLARD AND CAP MEMBERS	12
RECAP OF PREVIOUS CAP MEETING PERRI RUCKART	25
WATER MODELING UPDATE MORRIS MASLIA	41
DATA MINING WORKGROUP SVEN RODENBECK	65
Q&A SESSION WITH THE VA TERRY WALTERS, BRAD FLOHR	75
UPDATES ON STUDIES: MORTALITY STUDY, HEALTH SURVEY FRANK BOVE, PERRI RUCKART	121
WATER MODELING UPDATE (CONT'D) MORRIS MASLIA	161
DISCUSSION OF CAP MEMBERS' CONCERNS ABOUT ATSDR CAMP LEJEUNE WEBSITE PERRI RUCKART, CAP MEMBERS	166
MALE BREAST CANCER OPTIONS FRANK BOVE, PERRI RUCKART	170
WRAP-UP CHRISTOPHER STALLARD	191
COURT REPORTER'S CERTIFICATE	203

**TRANSCRIPT LEGEND**

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- "^" represents inaudible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

**P A R T I C I P A N T S**

(alphabetically)

BOVE, FRANK, ATSDR  
BRIDGES, SANDRA, COMMUNITY MEMBER (via telephone)  
BYRON, JEFF, COMMUNITY MEMBER  
CLAPP, RICHARD, SCD, MPH, PROFESSOR  
ENSMINGER, JERRY, COMMUNITY MEMBER  
FLOHR, BRADLEY, VA  
FONTELLA, JIM, COMMUNITY MEMBER  
KAPIL, VIK, NCEH/ATSDR  
MASLIA, MORRIS, ATSDR  
MENARD, ALLEN, COMMUNITY MEMBER (via telephone)  
PARTAIN, MIKE, COMMUNITY MEMBER  
PORTIER, DR. CHRISTOPHER, DIRECTOR NCEH/ATSDR  
RODENBECK, SVEN, ATSDR  
RUCKART, PERRI, ATSDR  
SIMMONS, MARY ANN, NAVY AND MARINE CORPS PUBLIC HEALTH  
CENTER  
SINKS, DR. TOM, NCEH/ATSDR  
TOWNSEND, TOM (via telephone)  
WALTERS, DR. TERRY, VA

**P R O C E E D I N G S**

(9:00 a.m.)

**WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS**

1  
2       **MR. STALLARD:** Welcome to those on the phone. You  
3 all should have gotten an agenda so what I'm going  
4 to do to start this off is first of all go over our  
5 operating guidelines and then we'll do brief  
6 introductions so everyone knows who's in the room.

7           Our operating principles unless they've  
8 changed, please be sure that you sign in. If you  
9 have cell phones, that's for the audience and those  
10 here gathered, please have them on off or silent  
11 stun. The audience as you recall are here to  
12 listen. This is an open meeting.

13           We're live streaming and that's archived. The  
14 audience may be invited by the CAP members to  
15 participate if there's someone in the audience you  
16 wish to refer to. We ask that the audience not  
17 participate unless you're invited to do so.

18           As you know we're talking some time now on  
19 these issues, and we all represent different  
20 agencies so this is not a time for personal attacks.  
21 Along with that, one speaker at a time, please  
22 respect the speaker. Let's not speak over. It

1 makes it very hard for Ray to understand who's  
2 saying what if there are multiple people talking at  
3 the same time. That impedes effective listening and  
4 communication. Along with that then we ask that  
5 sidebars be kept to a minimum.

6 We will take a break. If you have some  
7 important business to discuss with someone, that  
8 will be the appropriate time to do it. And respect  
9 the process and the progress that we make in these  
10 meetings.

11 So with that what I'd like to do is we're going  
12 to go briefly around the room for introductions  
13 meaning just your name and your organizational  
14 affiliation. And then we'll move into an update  
15 after that.

16 So I'm Christopher Stallard with the Center for  
17 Global Health. I'm your facilitator today.

18 **MR. FONTELLA:** Jim Fontella. I'm a member of the  
19 CAP.

20 **DR. CLAPP:** Dick Clapp, member of the CAP.

21 **DR. PORTIER:** This is Chris Portier, technically  
22 challenged Director of National Center for  
23 Environmental Health and the Agency for Toxic  
24 Substances and Disease Registry.

25 **MS. RUCKART:** Perri Ruckart, ATSDR.

1           **DR. BOVE:** Frank Bove, ATSDR.

2           **MR. FLOHR:** I'm Brad Flohr with the Department of  
3 Veterans Affairs in Washington.

4           **MR. STALLARD:** Welcome.

5           **MR. BYRON:** Hi, this is Jeff Byron with the CAP.

6           **MS. SIMMONS:** Hi, Mary Ann Simmons, Navy/Marine  
7 Corps Public Health Center.

8           **MR. ENSMINGER:** Jerry Ensminger, Camp Lejeune CAP.

9           **MR. PARTAIN:** Mike Partain, Camp Lejeune CAP.

10          **MR. STALLARD:** Before we go into CAP updates, Dr.  
11 Chris Portier has asked for some remarks, and so  
12 we'll use this time for that.

13          **MR. TOWNSEND (by Telephone):** Tom Townsend, CAP.

14          **MR. STALLARD:** Pardon me. Yes, thank you. Tom  
15 Townsend, welcome.

16                   And who else do we have on the phone?

17                   (no response)

18          **MR. ENSMINGER:** Allen was there.

19          **MR. STALLARD:** He was?

20                   All right, please proceed.

21           **WELCOME FROM DIRECTOR NCEH/ATSDR**

22           **DR. PORTIER:** Good morning, everyone, and welcome to  
23 Atlanta. I just wanted to take a moment to tell you  
24 a little bit about what's happened in the four  
25 months that I've been here, and you'll get a lot

1 more update today from the rest of the crew that  
2 works here.

3 First, I thought I'd tell you that from my  
4 perspective it looks like we're on target for  
5 everything we said we would do. You've gotten all  
6 the publications, I hope, that have come out  
7 recently. I think the water modeling staff has done  
8 a great job, great staff appears to be really on top  
9 of planning and setting up the health studies that  
10 will be coming along in time. So I'm really pleased  
11 with their work.

12 As many of you know we recently had, like two  
13 weeks after I got here, we had a Congressional  
14 hearing which I think went fairly well looking into  
15 a number of issues related to Camp Lejeune.

16 In addition, as I promised some of you in  
17 discussions, we have clarified the issue about what  
18 ATSDR thinks about the National Academy of Sciences  
19 report and exactly what parts of it we agree with  
20 and disagree with it. And if you haven't gotten a  
21 copy of that we will get you a copy of that letter  
22 now.

23 So I think we're doing quite well on this  
24 particular project. We're moving forward. The  
25 annual plan of work is in place for 2011, and so I

1 think we're going to get everything done in time as  
2 we said we would.

3 One thing I'd like to bring up with the CAP was  
4 the question of venue. I know you've had some  
5 discussions about the difficulties of getting into  
6 CDC and how hard that is, and I can sympathize with  
7 you on that. So this morning I thought I would  
8 offer you a change of venue. There's no reason why  
9 we can't hold this in a local hotel and rent a room  
10 there.

11 It'll cost us. There's no doubt about it, and  
12 the money we would spend elsewhere, but nonetheless,  
13 we can do that. The downside of that, and nothing  
14 comes cheap. The downside of that is we won't be  
15 able to broadcast the meeting on video if we do  
16 that. We're going to lose that capability.

17 That capability resides here, and it's unclear  
18 we can get that capability in a local hotel and have  
19 it done at a reasonable cost and have it work well.  
20 So that's what you have to think about, and whatever  
21 you decide as a group we will certainly try to honor  
22 that decision.

23 Jerry.

24 **MR. ENSMINGER:** Yes, I have some suggestions for  
25 this alternative venue idea. And I think the

1 streaming video could be facilitated if we made  
2 arrangements and set the meeting up at like UNCW,  
3 University of North Carolina Wilmington, or the  
4 Coastal Carolina Community College in Jacksonville.

5 **MR. BYRON:** We've done that before, right?

6 **MR. ENSMINGER:** Well, the Commandant's, quote-  
7 unquote, blue ribbon panel back in 2004, which was a  
8 joke -- but we won't go there now, they had a  
9 meeting, and they had all the bells and whistles at  
10 that meeting.

11 **MR. STALLARD:** Okay, so may I suggest that by the  
12 end of the day we'll list some potential sites and  
13 our needs and then that will be an agenda item to  
14 see if that's even possible for us to do.

15 **MR. BYRON:** And even the US -- this is Jeff Byron -  
16 even the USO is a good spot in Jacksonville at the  
17 time if you're talking about for streaming any  
18 information.

19 **MR. ENSMINGER:** Yeah, you're not going to have that  
20 there. I mean, that's World War II vintage.

21 **MR. PARTAIN:** And I think the point of doing a  
22 meeting off campus is not necessarily 'cause of  
23 inconvenience of coming here. Just coming here one  
24 was the issue of allowing media in. The other is if  
25 we want to get, do some meetings in the community to

1           where other people get access to it, and  
2           Jacksonville was one of the suggestions that we do a  
3           meeting there.

4           **DR. PORTIER:** Well, as I said, we'll consider it.  
5           We have to look, I was thinking offsite in Atlanta  
6           because if we go offsite out of Atlanta it's going  
7           to cost us a fair amount of money because then I  
8           have to not only, I have to transport staff to such  
9           a meeting. And that, of course, carries a cost with  
10          it. So we'll have to look at that issue carefully.

11                  Again, I'd like to have you discuss it and give  
12          us some options. And we'll look at them and see  
13          what we can do.

14          **MR. ENSMINGER:** Well, Morris and his crowd love  
15          Jacksonville. They're up there all the time.

16          **MR. STALLARD:** He's known by name there.

17          **MR. MASLIA:** I can tell you the best places to eat.

18          **MR. STALLARD:** Would you like to introduce Vik  
19          Kapil?

20          **DR. PORTIER:** Yes, thanks for reminding me. I'm  
21          going to be coming and going today, and I won't be  
22          here for the whole meeting, but I'll try to come  
23          down as often as I possibly can.

24                  I'd like to introduce to you my Chief Medical  
25          Officer, Vik Kapil. Vik just joined NCEH-ATSDR, and

1 he will be my representative at this meeting all day  
2 today. So I'm going to change my name to Vik Kapil,  
3 and I'm actually going to sit in the audience and  
4 let him come up here. Thank you very much.

5 **MR. STALLARD:** Thank you.

6 **MR. ENSMINGER:** Your letter that you wrote regarding  
7 the NRC report, remember I asked if the VA was going  
8 to be addressed with that letter. Did the VA get  
9 that letter eventually?

10 **DR. PORTIER:** Yes, they got a copy of the letter.

11 **MR. ENSMINGER:** Because I didn't know. Nobody --

12 **DR. PORTIER:** Thank you for reminding me. It was  
13 always intended they would get a CC on the letter,  
14 and we just, I hadn't communicated it well to my  
15 secretary.

16 **MR. STALLARD:** Thank you. Thank you.

17 **CAP UPDATES/COMMUNITY CONCERNS**

18 So now what I'd like for us to do is to go  
19 around and update each other on what has transpired  
20 relative to the CAP since the last meeting; what  
21 have you accomplished, challenges, issues and CAP  
22 update. So we'll start with Jim.

23 **MR. FONTELLA:** Jim Fontella. I sent, with the help  
24 of Dr. Clapp, I sent out letters to the AOEC Clinics  
25 around the country. They're in 28 states. There's

1           about 50 or 60 of them, 12 in New York alone. Some  
2           states have one. Some states have two, three.  
3           Mostly they're in colleges. In regards to a place  
4           where a person can get medically serviced and at the  
5           same time where the doctors are MPHs as well where  
6           they'd be familiar with environmental exposures  
7           which would help them in the long run end up with a  
8           medical evaluation if they were going to file a  
9           claim with the VA for a, you know, a nexus linking  
10          their exposures to their illnesses.

11                 I've got like seven responses and just to put  
12          it in a nutshell, the responses that I've gotten,  
13          some aren't taking patients. Some feel that they  
14          can't do anything. And the responses which were  
15          helpful were basically saying that they needed to,  
16          they need more information on the exposures and the  
17          studies that are going and all the different things  
18          on the chemicals. And they'd probably have to wait  
19          until the ATSDR studies.

20                 And then we're finished, and then there would  
21          be no really guarantees that they could link that  
22          illness. This is kind of the same story we're  
23          getting everywhere else. But anyway that's what  
24          I've worked on with several other things.

25          **MR. STALLARD:** Thank you, Jim.

1           **MR. FONTELLA:** Thank you.

2           **DR. CLAPP:** This is Dick Clapp. I basically just  
3 worked with Jim since the last meeting. That was my  
4 input and I helped draft the letter that Jim sent  
5 around.

6           **MR. STALLARD:** Thank you and welcome.

7           **DR. KAPIL:** Thank you very much. It's a pleasure to  
8 be here. I've met a number of you in the past when  
9 I was at ATSDR before. It's a pleasure to be back,  
10 and I look forward to working with all of you.

11                   For those of you that don't know me, my  
12 background is in emergency medicine and also in  
13 occupational environmental medicine so those are my  
14 specialties. I've been in, been doing environmental  
15 health the vast majority of my career so look  
16 forward to working with all of you. Thank you.

17           **MR. STALLARD:** And you were the former branch chief.

18           **DR. KAPIL:** That's right. I was previously the  
19 Branch Chief of the Surveillance and Registries  
20 Branch at ATSDR.

21           **MR. ENSMINGER:** Where did you go?

22           **DR. KAPIL:** I went to the Injury Center here at CDC  
23 and now with the Division of Injury Response in the  
24 Injury Center for the last several years.

25           **MR. ENSMINGER:** And you came back?

1           **DR. KAPIL:** I'm back.

2           **MR. STALLARD:** Thanks and welcome.

3                     Brad Flohr.

4           **MR. FLOHR:** I have some things I want to say during  
5 my time at eleven o'clock, but basically we have  
6 been very busy on this issue. We've spent a lot of  
7 time meeting with Senator Burr's staff. We had a  
8 meeting with DOD on Monday, Mary Ann was at and  
9 myself, on all the exposures that are being tracked  
10 by DOD and the VA, one of those being Camp Lejeune's  
11 whose issues are right up there in the forefront.

12           **MR. STALLARD:** Jeff.

13           **MR. BYRON:** This is Jeff Byron, and the ATSDR asked  
14 the CAP to ask the community through The Few, The  
15 Proud, the Forgotten website to give us their unit  
16 information where they were barracked and so forth.  
17 We got about 25 responses we'll give to Frank before  
18 today is over. I left our computer outside. Had to  
19 put it down on a disk for him.

20           **MR. STALLARD:** Jerry.

21           **MR. ENSMINGER:** Just keep digging.

22           **MR. STALLARD:** A deeper hole or what?

23           **MR. ENSMINGER:** Looking for more information.

24           **MR. STALLARD:** Digging for information. All right,  
25 thank you.

1           **MR. PARTAIN:** Pretty much the same thing, just  
2           research reading, updated my glasses prescription.

3           **MR. STALLARD:** So will you be able, have you, in  
4           data discovery and digging are you coming across  
5           other sources and more information?

6           **MR. ENSMINGER:** Once you get to a point in a  
7           situation like where we've gotten thus far with this  
8           thing, way down the road, and then you look back at  
9           some of the stuff that was there glaring you in the  
10          face before, you see some, I mean, just some  
11          blasphemous documents, statements that were made in  
12          the past.

13                   I'll give you a prime one right now is the  
14           public health assessment, the draft public health  
15           assessment. When they were discussing the Holcomb  
16           Boulevard drinking water system, in the text it said  
17           when the fuel contamination was discovered in  
18           January of 1985 in the Holcomb Boulevard water  
19           distribution system, it was immediately shut down  
20           and their water was replaced with the known  
21           contaminated water from the Hadnot Point drinking  
22           water system. That was the draft.

23                   When the final came out, it said the Holcomb  
24           Boulevard water distribution plant was immediately  
25           shut down, and it was replaced by water from the

1 Hadnot Point water distribution plant for which the  
2 contamination had not yet been discovered.

3 I mean, when you look back historically at some  
4 of this stuff and how it morphed and changed,  
5 somebody was making deals. I mean, there's no way  
6 other, I mean, look at the rifle range. Nineteen-  
7 eighty, the Marine Corps and Department of the Navy  
8 were out there stirring around at the rifle range  
9 because there was an EPA-registered and state-  
10 registered chemical dump out there.

11 They were out there testing wells, testing the  
12 finished drinking water from the Hadnot Point, or  
13 from the rifle range water distribution plant. Sent  
14 a letter to the commanding general Camp Lejeune  
15 telling them not to use a certain well because they  
16 found two parts per billion of the damn  
17 trichloroethylene in it, in the raw water well.

18 But yet when they show up with 1,400 parts per  
19 billion in the finished water at the main water  
20 distribution plant at Hadnot Point and 200-some  
21 parts per billion in Tarawa Terrace's finished  
22 drinking water, they don't do shit. Excuse my  
23 mouth. I mean, it's blasphemy. And then they try  
24 to sit there and give out these statements of how  
25 much they care about their people. Give me a break.

1           Makes me sick.

2           **MR. BYRON:** Or how much they knew at the time. That  
3           makes me sicker. They act like they were ignorant  
4           about the facts of what was going on in these wells,  
5           and that's not true at all.

6           **MR. STALLARD:** Thank you. And we're moving forward  
7           based on the facts that to a large degree you all  
8           have helped to uncover.

9           **MR. ENSMINGER:** It's all been a big team effort. I  
10          mean, I truly appreciate everything that Morris and  
11          Bob Faye and Professor Aral and all their crew, Dr.  
12          Bove, have done, and Perri. Our problem with ATSDR  
13          has not been the people that are actually down here  
14          doing the work. It's their people up above them  
15          that have been the problem in the past.

16          **MR. STALLARD:** In the past.

17          **MR. ENSMINGER:** Now I must say that Dr. Portier is a  
18          breath of fresh air. There's been one hell of a  
19          change here. And I don't want to sound completely  
20          negative, but I mean, we finally got the public  
21          health assessment taken down, which was a joke in  
22          reality. I mean, whenever you can't produce the  
23          source documents for which a document, official  
24          document, was created, how the hell can you stand  
25          behind the document like that?

1           But by the same token the people who were  
2           responsible for these changes, if you go back and  
3           look at that public health assessment and how it  
4           morphed over time, somebody needs to be held  
5           accountable for that. The people responsible for  
6           that public health assessment and those changes,  
7           they knew. They knew that water was contaminated.  
8           They had it right the first time then they changed  
9           it. Why?

10           People like that need to be sought out and  
11           dealt with. I know that some of them are GS  
12           employees. I know you have to kill a GS employee to  
13           fire him, okay? But they don't need to be in a  
14           position where they're writing or have anything to  
15           do with public health assessments that are taking  
16           place now at current NPL sites or future NPL sites  
17           based upon what they have done in the past and shown  
18           that they were making deals with people to change  
19           the facts. They need to be axed.

20           **MR. STALLARD:** Thank you.

21           **MR. PARTAIN:** Just one quick observation. Just out  
22           of curiosity, in the audience I see one captain back  
23           there, but who is here from the Marine Corps today?

24                           (inaudible response)

25           **MR. PARTAIN:** I'm sorry. What was your last name,

1 Captain?

2 **CAPTAIN MILLER:** Captain Miller.

3 **MR. PARTAIN:** Captain Miller.

4 **CAPTAIN MILLER:** You met me last meeting.

5 **MR. PARTAIN:** Yes, I remember. I just couldn't  
6 remember the name. Sorry about that.

7 Anyways just wanted to point out that since,  
8 what, January was the last time that we had the  
9 representatives from Marine Corps, the people with  
10 the knowledge of what went on at the base, the  
11 documents and everything, they're conspicuously  
12 absent and continue to be absent from these  
13 meetings.

14 In April the Marine Corps stated that they felt  
15 they were a distraction, which I disagreed with.  
16 Their absence here is noted and I guess that's how  
17 the Marine Corps shows their concern for their  
18 families and the Marines.

19 **MR. STALLARD:** Thank you, Mike.

20 Tom, are you still on the phone?

21 **MR. TOWNSEND (by Telephone):** I certainly am.

22 **MR. STALLARD:** Well, good. Would you like to update  
23 us on maybe briefly some of your activities?

24 **MR. TOWNSEND (by Telephone):** Well, my activities on  
25 the Camp Lejeune document searches and stuff like

1           that have sort of come to a halt. I picked up all  
2           the pieces of the glass in the cathedral that was  
3           blown out and tried to put it back together again.

4           No, I'm just following along and I'm focusing  
5           on the Veterans Administration and what they're  
6           doing. I'm most anxious to hear about the Veterans  
7           Administration and their handling of the claims of  
8           the veterans. I've slowed down on discovery.  
9           That's about where I'm at, and I'm up to my butt in  
10          snowdrifts right now.

11         **MR. STALLARD:** Okay, well, stay warm and stay tuned  
12          because I believe around eleven o'clock we'll have  
13          some updates from the Veterans Administration.

14         **MR. ENSMINGER:** Hey, Tom, why don't you give us an  
15          update on your love life, man?

16         **MR. TOWNSEND (by Telephone):** No, no, this is very  
17          upright. I was remarried on 30 November to a lady  
18          that I used to go with in high school in 1947. I  
19          lost my first wife to the Camp Lejeune fiasco.

20         **MR. STALLARD:** I think there's a story there to be  
21          told.

22                 Is Allen on the phone?

23         **MR. MENARD (by Telephone):** Yes, I am.

24         **MR. STALLARD:** Welcome.

25         **MR. MENARD (by Telephone):** I've basically been

1           networking, talking with other veterans trying to  
2           get the word out and that and helping them with  
3           their claims is what I've been doing.

4           **MR. STALLARD:** Thank you.

5                     And is Sandra on the phone?

6           (no response)

7           **MR. PARTAIN:** Chris, I got an e-mail from somebody  
8           saying they're having difficulty getting online with  
9           the streaming for the CAP today. So I don't know if  
10          there's something we could check on.

11          **MR. MENARD (by Telephone):** I'm looking at the  
12          streaming right now. I have it up, and I have no  
13          problem with it. This is Allen.

14          **MR. STALLARD:** Thank you.

15                     Just one quick update from you, Mike. What's  
16          the number of male breast cancer folks that have  
17          been identified in your effort?

18          **MR. PARTAIN:** Well, we're currently at 66. There is  
19          a 67<sup>th</sup>. When I was down at the Moffitt Cancer Center  
20          last month doing some follow ups, the physician who  
21          appeared in the CNN story informed me that he is in  
22          contact, actually had another gentleman who was  
23          diagnosed with male breast cancer from Camp Lejeune.  
24          They couldn't divulge the information, but he was at  
25          Camp Lejeune.

1           Matter of fact, Dr. Kiluk informed me that he  
2 routinely asks any new male breast cancer patient  
3 that he comes across whether they were a Marine at  
4 Camp Lejeune. It's a standard question he follows  
5 up on. The gentleman's undergoing treatment so once  
6 he comes out of that he's going to try to get him in  
7 touch with us or have him contact me. And he'll be  
8 67.

9           **MR. STALLARD:** Thank you.

10          **MR. FLOHR:** Hey, Mike, is that just, is it Marines  
11 or is that dependents or a combination?

12          **MR. PARTAIN:** It's a common, most of the lion's  
13 share are Marines. They're roughly about I want to  
14 say six to ten, six to eight dependents, but the  
15 rest are all Marines.

16          **MR. ENSMINGER:** Or sailors.

17          **MR. PARTAIN:** Or sailors. Sorry, Jerry. We have a  
18 few Navy corpsmen that are in our group, too.

19          **DR. BOVE:** What's the total?

20          **MR. PARTAIN:** Sixty-six and there's one pending.

21          **MR. STALLARD:** And so this one doctor is making it  
22 part of his protocol to ask any male breast cancer  
23 patients about a Camp Lejeune connection?

24          **MR. PARTAIN:** Yes. And he is a breast cancer  
25 surgeon.

1           **MR. STALLARD:** Okay. I think at some point maybe in  
2 your efforts all male breast cancer patients have  
3 been asked that question.

4           **MR. MENARD (by Telephone):** Christopher, can I ask a  
5 question of Mary, please?

6           **MR. STALLARD:** Yes, please.

7           **MR. MENARD (by Telephone):** Mary, has the Marine  
8 Corps been doing any outreach as far as trying to  
9 get the word out about the contamination at Camp  
10 Lejeune lately?

11          **MS. SIMMONS:** They continue to do the outreach for  
12 the survey and the registry, so yes, and I have an  
13 update for that, too.

14          **MR. STALLARD:** Thanks.

15          **MR. PARTAIN:** Chris, one thing on the male breast  
16 cancers, I know according to that article that Frank  
17 was quoting at the last CAP meeting was 640-  
18 something male breast cancer patients identified  
19 within the VA system. It would be very interesting  
20 to have the VA go back and identify these people and  
21 find out how many of these guys were Marines at Camp  
22 Lejeune.

23          **DR. BOVE:** Later in the meeting we're talking about  
24 possible options, and that's one.

25          **MR. STALLARD:** Thanks.

1 Perri, our update, please.

2 **RECAP OF PREVIOUS CAP MEETING**

3 **MS. RUCKART:** Well, I'd just like to start off our  
4 current meeting by summarizing what happened at the  
5 last meeting, and some of what I wanted to mention  
6 was already discussed during the updates. So thank  
7 you.

8 As was mentioned, Dr. Portier told the CAP that  
9 he was looking to communicate our position on the  
10 NRC report to the VA. And as he stated we drafted a  
11 letter and sent a letter to the DOD. It was shared  
12 with the VA. We provided a copy.

13 And just to further update you, ATSDR has a  
14 meeting planned with the VA in February to further  
15 discuss ways to facilitate dialogue between them and  
16 us and the CAP and to answer any questions the VA  
17 has about our scientific work at Camp Lejeune. So  
18 we're continuing to develop that relationship.

19 As mentioned there were questions last time  
20 about media filming the CAP meetings and what types  
21 of exemptions and exceptions we could get for that.  
22 One option could be to possibly have a meeting  
23 offsite as Dr. Portier had mentioned. Also, it's my  
24 understanding that there's no blanket policy to  
25 prohibit cameras from coming in. It's just approved

1 on a case-by-case basis.

2 **MR. PARTAIN:** So is that the official stance that on  
3 a case-by-case basis because --

4 **MS. RUCKART:** I'm getting the nod, yes. There were  
5 no requests for this meeting by the way.

6 **MR. PARTAIN:** Okay.

7 **MS. RUCKART:** As Jim and Dr. Clapp mentioned, they  
8 were working on getting assistance for vets who were  
9 preparing claims packets and nexus letters. They  
10 already discussed that.

11 **MR. FONTELLA:** Some of the questions I asked them  
12 also were what the fees they would charge, if they  
13 did any pro bono work or what would be involved in  
14 contacting them and getting medical assistance of  
15 some sort. And again, I didn't get a lot of  
16 positive reaction.

17 **MR. STALLARD:** Who were you asking these questions?

18 **MR. FONTELLA:** To -- in a letter I sent to the  
19 Association for Environmental and Occupational  
20 Clinics. There are 28 states and there's, the exact  
21 count I'm not sure of how many letters we sent out,  
22 somewhere between 50 or 60, and I received seven  
23 replies.

24 The only positive thing is it lets us know  
25 where they're at or what they need, information they

1           need before they can help the veterans. But other  
2           than that there's not really much they can do or  
3           guarantee that they could even give a medical  
4           evaluation or a nexus. They would have to know all  
5           the exposures and what they were exposed to and the  
6           doses and things like that that probably the veteran  
7           would not know until they finish the studies here.

8           **MR. BYRON:** Jim, this is Jeff. Is this strictly for  
9           veterans, or does this group also deal with  
10          civilians?

11          **MR. FONTELLA:** They deal with civilians, work with -  
12          -

13          **MR. BYRON:** Workers' comp?

14          **MR. FONTELLA:** Yeah, things like that. But they're  
15          MPHs as well as MDs, so they're familiar with  
16          exposures which is very important which again we'll  
17          talk later on with Brad with the VA with what's  
18          going on there. But that's what the issue was  
19          there. I don't have the letter with me. I probably  
20          should have brought it to give you a better  
21          description of it.

22          **MR. BYRON:** One other thing real quick, and I just  
23          want to mention this so that we can, maybe the ATSDR  
24          can expound on. I guess some of the people who are  
25          streaming and watching this and keeping up with the

1 CAP, because there's been so much VA involvement in  
2 the last year, and because we're talking about the  
3 health survey and the mortality studies, it seems  
4 like some of the people feel as though we've lost  
5 sight of the original in utero study.

6 I'd like somebody from the ATSDR to expound on  
7 the fact that, no, what we're doing is that the  
8 water modeling and so forth has to be completed for  
9 that portion of the study. And what we're doing is  
10 we're concentrating on that still, or you are, but  
11 you're also concentrating on the veteran end of this  
12 issue, too. Thank you.

13 **MS. RUCKART:** I think you just summed it up  
14 perfectly; what you said is the case so I don't know  
15 how much more we can add. That's where we are. We  
16 haven't forgotten about it, and it's pending  
17 completion of the water modeling. We're still  
18 committed to completing that.

19 **DR. BOVE:** And completing it as quickly as possible  
20 once we get data from Morris.

21 **MR. BYRON:** Thank you. I just wanted the  
22 reassurance to the crowd.

23 **MS. RUCKART:** Last time we discussed the CAP  
24 governance, items were clarified and the CAP members  
25 provided their reaction. And at that time CAP

1 members said if they had any additional comment they  
2 would provide them in writing and no additional  
3 comments were received.

4 Last time the CAP mentioned they would like to  
5 discuss vapor intrusion as a pathway. ATSDR DHAC,  
6 which is where Morris is, they are working through  
7 the redacted UST files to determine what, if any,  
8 impact it will have on the water modeling. And  
9 there was a question about how many draft reports or  
10 documents do not become final. And Morris said he  
11 would try to look into having a summary for this  
12 meeting, but that is still in progress.

13 And at the last meeting Morris provided a water  
14 modeling update that included the status of data  
15 extraction, UST file reviews, mass computations,  
16 water supply well operations and chronology for  
17 water flow, water development and a water  
18 distribution system monitoring for the Hadnot Point-  
19 Holcomb Boulevard interconnection. He also let you  
20 know that Chapter C would be coming out in October,  
21 and he will provide a further update later today.

22 And it was discussed that the CAP would provide  
23 Morris with a water treatment plant operator's  
24 contact information to get more insight into water  
25 usage for the golf courses and Mike did e-mail that

1 information to Morris.

2 Morris asked the DOD to make copies of the UST  
3 DVDs available to the public because its too  
4 resource intensive for ATSDR to handle the request.  
5 And since the meeting the DOD responded that anyone  
6 who wants a copy of these files needs to submit a  
7 FOIA request, two weeks.

8 And at the last meeting Brad Flohr gave his  
9 update. He said about 200 claims have been filed  
10 based on exposure at Camp Lejeune and about 20 have  
11 been granted. The VA is working on developing a  
12 claim label to be able to electronically track  
13 things related to Camp Lejeune and their outcome.

14 And he said he would follow up on the CAP  
15 request that the VA eliminate mentioning the NRC  
16 report in the training letter that's sent to their  
17 regional offices and others. And he can provide a  
18 further update later this morning.

19 Terry Walters of the VA mentioned that the VA  
20 does have a task force reviewing the Camp Lejeune  
21 situation and the NRC report and they're producing a  
22 report for the Secretary. She also mentioned that  
23 the VA has an Environmental Agents Coordinator in  
24 each VA medical center. And the VA is considering  
25 how to make this person available to Camp Lejeune

1 veterans to get an evaluation. I don't know if you  
2 or she will be providing an update on that.

3 Tom Sinks suggested that the VA get the CAP's  
4 input on communication related to Camp Lejeune  
5 before materials are sent out.

6 Sven gave an update on the data mining  
7 technical workgroup activities. Most of the work  
8 was expected to be completed by the end of October.  
9 The closeout report will have all the indices from  
10 the various repositories that the workgroup looked  
11 at. And Sven will also be here later today to  
12 provide another update on their activities.

13 The CAP requested to see the USMC versions of  
14 the pre-notice and survey invitation letters. I  
15 want to share with you that the USMC stated they  
16 would rather not release the unsigned draft letters  
17 because they don't release documents until they are  
18 final as a matter of practice. They had hoped to  
19 have these letters available prior to the CAP  
20 meeting so they could be shared, but that has not  
21 occurred.

22 There is a deadline, a hard deadline though of  
23 December 15<sup>th</sup>, to get these letters finalized. They  
24 are aware of this and are working toward that  
25 deadline. This is the deadline so we can have the

1 materials to our contractor for the health surveys.  
2 But we can begin and stick to our schedule. And  
3 we'll be providing a further update about the health  
4 survey later this afternoon.

5 Last time it was mentioned that CAP members are  
6 concerned that once the surveys are starting to be  
7 mailed out, additional people may want to register  
8 to receive surveys, and the CAP wants to make sure  
9 that we can include these later registrants.

10 So we discussed this with our contractor, and  
11 they'll be getting two data files from the Marines  
12 with the registry contact information, one at the  
13 beginning of the survey mail-out, and one towards  
14 the end so that we can account for any late  
15 registrants and include as many as possible.

16 As Jeff mentioned, we were wanting to work  
17 through him to get information on where units were  
18 barracked, and he said he posted that out on the  
19 website and has some information to share with us,  
20 so thank you for that.

21 Also, we discussed with the CAP members how  
22 they could help us with the health survey, and they  
23 could do that by encouraging everyone who gets a  
24 survey to respond and to respond quickly and not to  
25 share their unique PIN because that could create

1           confusion and problems among who's actually  
2           answering the survey.

3           I also want to let you know when you do all  
4           that, please also remind the participants to sign  
5           the inform consent and medical record release forms  
6           and return them with the survey. Of course, that  
7           will be highlighted and mentioned in the materials  
8           you get, but that's very important that when  
9           completed surveys are returned they do have these  
10          other forms with it.

11          The CAP asked if the ATSDR could include  
12          dependents from the 1999-to-2002 ATSDR telephone  
13          survey in the mortality study. So for the mortality  
14          study we need to determine the vital status and  
15          cause of death, and we're relying on social security  
16          number information for that.

17          And we have the social security number for the  
18          active duty and civilian workers from the DMDC  
19          database. We don't have the social security number  
20          for most of the dependents, and for this reason it's  
21          not possible to include them in the mortality study.  
22          But as you know they are a part of the health  
23          survey.

24          It was mentioned last time the CAP wanted to  
25          know who made the decision to have armed guards in

1           106 for the April meeting. Caroline McDonald, our  
2 former deputy director, was present at the meeting  
3 and said she would follow up on that. I know that  
4 she did follow up on that but was not given a clear  
5 response.

6           David Williamson, our division director, would  
7 like to respond to that. Thank you.

8           **DR. WILLIAMSON:** Yeah, Caroline did follow up on  
9 that with the Office of Safety and Health at CDC.  
10 We were told by them that armed guards at CDC  
11 locations is nothing new. They have always been  
12 here. They may have been more visible that  
13 particular day.

14           I know I talked with Jerry and a couple of  
15 other folks and they said they were extremely  
16 visible that day. Also Safety and Health did not  
17 respond to that, but they did say that this is not  
18 unlikely or unusual for us to have armed guards at  
19 all of our facilities and most of the time. Whether  
20 or not they were visible, I'm not sure what the  
21 response is to that.

22           **MR. ENSMINGER:** That's bull.

23           **MR. STALLARD:** Thank you, Perri.

24           **MS. RUCKART:** Thank you.

25           And then last time it was discussed, and

1           there's a request if we could provide a mechanism  
2           for the community to provide input to and raise  
3           questions and concerns with the CAP members during  
4           the CAP meeting.

5           We don't have a mechanism to respond real-time  
6           during the CAP meetings; however, I want to remind  
7           everybody or let people know if they're unaware that  
8           we have the ATSDR Camp Lejeune e-mail address. We  
9           respond personally to every request that we get.

10          So if there are questions we definitely give  
11          everybody a personal response. If any issues are  
12          brought to our attention through e-mails that we  
13          receive that would be beneficial for everyone to  
14          know about, we do share those during CAP meetings.

15          That's all I have.

16          **MR. ENSMINGER:** I have one thing, and I know this is  
17          going to come up later, but something for you to be  
18          thinking about between now and your time. This is  
19          one of the questions you're going to face during  
20          your period coming up at eleven.

21          **MR. STALLARD:** We don't need armed guards for that  
22          one.

23          **MR. ENSMINGER:** No, especially DeKalb County  
24          Sheriff's Department. I've only ever seen them here  
25          once and that was for that meeting, okay?

1           Somebody's filling you full of crap.

2           **MR. STALLARD:** Go ahead.

3           **MR. ENSMINGER:** Has the VA distributed Dr. Portier's  
4           letter concerning the NRC report to your regional  
5           offices?

6           **MR. FLOHR:** To our regional offices? No.

7           **MR. ENSMINGER:** Why not?

8           **MR. FLOHR:** That's --

9           **MR. ENSMINGER:** And the same thing goes for the  
10          Marine Corps. Dr. Portier is the director of a  
11          government agency that's responsible, was created  
12          and mandated by Congress for these types of  
13          situations, superfund sites, NPL sites. Dr. Portier  
14          put a letter out that conflicted with the NRC  
15          report. Why hasn't the Marine Corps distributed  
16          that letter to all their registrants? Just  
17          something for you guys to keep in mind.

18          **MR. PARTAIN:** This is Mike Partain. I want to add  
19          two things in here. One, tagging on to what Jerry  
20          just said about the Marine Corps registry. One  
21          thing with the registry, I wonder, and I do not know  
22          if they're collecting social security numbers when  
23          they're calling in stuff because when you're making  
24          the comment about the in utero study I find it  
25          incredibly lack of foresight that that information

1           wasn't collected.

2                   I work as an insurance adjuster, and I handle  
3           personal injury claims and things like that on a  
4           daily basis and that's one of the key criteria that  
5           we get when we're collecting information. I think  
6           it is a huge mistake that the in utero population is  
7           being left out of the mortality study. I understand  
8           why, but I just want to go on record that I think  
9           it's a mistake.

10                   And the other point I want to bring up about  
11           the Marine Corps registry. If people do call in and  
12           the Marine Corps controls the registry information  
13           as the primary responsible party, and last July the  
14           Marine Corps printed this booklet which was given to  
15           every member of Congress shortly before the hearing.  
16           And we understand from our community that people  
17           were getting this booklet despite the fact there  
18           were some errors in it.

19                   And it was addressed in the hearing and this  
20           book was nothing more than propaganda on the Marine  
21           Corps' behalf. Again, as Jerry just pointed out,  
22           Dr. Portier offered a letter in October addressing  
23           some of the very things that were talked about in  
24           here. This booklet talks about the NRC report and  
25           how unfortunately couldn't give conclusive answers

1 and basically said that we'll never get it.

2 And yet Dr. Portier's letter addressed some of  
3 the failings of the NRC report, and that letter has  
4 yet to be distributed to the families. It seems the  
5 Marine Corps is abusing their responsibility and  
6 authority with the registry or custodianship of the  
7 registry.

8 And they need to disseminate any and all  
9 information about Camp Lejeune including Dr.  
10 Portier's letter, including the President's cancer  
11 panel report released in May, and give this out to  
12 the community so they can make informed decisions  
13 and the Marine Corps can fulfill their pledge to  
14 keep the families and Marines informed.

15 **MR. STALLARD:** Thank you, Mike.

16 **MR. BYRON:** This is Jeff Byron. One last thing on  
17 notification, I did want to mention that we had a  
18 recent member of the website just found out about  
19 the contamination at Camp Lejeune. And the way that  
20 they found out about the contamination is the woman  
21 and her husband went to a VA facility in Virginia  
22 and saw a posting on the board there about Camp  
23 Lejeune. So they were clearly not notified by the  
24 Marine Corps.

25 They were there - what years, the '70s? So

1           they were there in the '70s so they fall in the time  
2           frame. She's had, I believe, it's non-Hodgkins  
3           lymphoma. Her husband recently passed away from  
4           heart troubles. And like I said the way she found  
5           out about it, she only lives ten miles from where I  
6           live.

7                         Again, it's been in the news normally later on  
8           in the evening, but they never received notification  
9           and she found out through a posting on a board at  
10          the VA.

11         **MR. FLOHR:** I'm glad to see it is at the VA, and I  
12          hope that they're all at one of the VA medical  
13          centers. Do you know which one, Mary?

14         **MR. BYRON:** But it's in Virginia. I guess he went  
15          there for care and that's how they saw it.

16         **MR. STALLARD:** Is that widespread, Brad, they've  
17          been directed to have that posting throughout?

18         **MR. FLOHR:** I have no idea.

19         **MR. BYRON:** That's all the information I have.

20         **MR. MENARD (by Telephone):** This is Allen. I've got  
21          a question for Mary. Do you know why the Marine  
22          Corps has not sent out the responses by the ATSDR  
23          and also the President's cancer panel? Can you  
24          answer that question that Mike had?

25         **MS. SIMMONS:** This is Mary Ann. No, I don't know,

1 don't know, but I'll be glad to get a response for  
2 that and send it back to the CAP.

3 **MR. MENARD (by Telephone):** Thank you.

4 **MS. SIMMONS:** You're welcome. Also, I just wanted  
5 to say about the VA, that's part of the outreach  
6 process that the Marines are trying to do to locate  
7 people, so actually that's a success story. I hope  
8 she registered.

9 **MR. STALLARD:** Thank you.

10 Frank, are you good or are we going to move on?

11 **DR. BOVE:** We'll deal with that question. Why don't  
12 you bring that up later?

13 **MR. STALLARD:** Okay, great.

14 **MR. PARTAIN:** What, the infant mortality? Okay.

15 **MR. STALLARD:** Well then thank you for the updates -  
16 -

17 **MR. BYRON:** I do want to ask Mary Ann one last  
18 thing. This is Jeff Byron. You know we're talking  
19 about this notification at the VA. How about  
20 notification to all the American Legions that are  
21 listed in the country and the VFWs? Do they all get  
22 one?

23 **MR. ENSMINGER:** The Marine Corps League.

24 **MR. BYRON:** The Marine Corps League?

25 **MS. SIMMONS:** I believe they did, but I'm not sure.

1 I don't have the list with me of who they sent out  
2 information, but I can find out and let you know.  
3 There's a whole list of people; I think they did but  
4 I'm not sure.

5 **MR. BYRON:** I'm just concerned that they would send  
6 to the national office and then it would never be  
7 received in the regional areas.

8 **MS. SIMMONS:** Let me follow up on that.

9 **MR. STALLARD:** Have we ever had an update on the  
10 extent of the outreach activities?

11 **MS. RUCKART:** Not for a while, I don't think.

12 **MR. STALLARD:** Maybe that's something we might like  
13 to consider as an agenda item at another meeting.

14 All right. Let's move on to Morris and our  
15 water modeling.

16 **WATER MODELING UPDATE**

17 **MR. MASLIA:** I'll just speak from up here. Is this  
18 mike on?

19 **MR. BYRON:** So real quick. Just so everyone who's  
20 listening knows that this is the portion that  
21 concerns the in utero study if I'm not mistaken as  
22 well as others. But this is the effort that's going  
23 forward with the in utero children.

24 **MR. STALLARD:** And our presenter is Dr. (sic) Morris  
25 Maslia.

1           **MR. MASLIA:** I wanted to try to update you on some  
2 reports first and then get into a little more  
3 technical issues. As you know Chapter C was  
4 released via our website in October. We said we  
5 would. And then just this latest week we received  
6 in the hard copies of the Chapter C report, they're  
7 identical to what's on the website.

8           I brought some down on the table here. We've  
9 mailed copies to the Navy and Marine Corps, and we  
10 will get with Frank and Perri to mail hard copies to  
11 the CAP members if they so desire, just if you'll  
12 let Perri or Frank know, so we'll do that.

13           We also printed just some extra packets of the  
14 map of Plate 1 and a CD containing the report itself  
15 of the map. So for those who do not have a large  
16 format printer to print out the map, there's some  
17 extra maps.

18           I received yesterday a draft of the Chapter B  
19 report. That's the geohydrologic framework. I will  
20 be reviewing it the remainder of this month, and  
21 then sending it out for external colleague review or  
22 technical review, whatever term you wish to have,  
23 and providing it obviously to our stakeholders like  
24 the CAP, EPA, and Region Four as we did with Chapter  
25 C. Navy, Marine Corps points of contact. I have

1 requested in our monthly phone conference and if the  
2 Navy or Marine Corps have any expert in particular  
3 in this geohydrologic framework that they would like  
4 to review that report for them or review it, to let  
5 me know and we will be happy to send them a copy, an  
6 official review, in other words with a cover letter  
7 and expect an official response back.

8 **MR. STALLARD:** For comment.

9 **MR. MASLIA:** For comment, yes, technical comment on  
10 Chapter B. We're asking everyone who gets the  
11 report to return it within 30 days so we can  
12 reconcile comments and then it will go through ATSDR  
13 clearance process.

14 And we are just starting to work on information  
15 on Chapter D, which is the above-ground and under-  
16 ground storage tanks report, so I cannot give you  
17 any dates of draft or anything on that.

18 That's where we stand with respect to reports.  
19 Are there any questions with respect to the reports?  
20 But I did want to introduce -- forgive me, an  
21 oversight, the authors of Chapter C, took a lot of  
22 work. And that is Bob Faye is here and I don't see  
23 my other coauthors, but Barbara Anderson and Rene  
24 Suarez and Elliott Jones came on late and worked on  
25 maps and stuff like that. And as you well know

1 going through all these historical documents and  
2 trying to make some of those chronological and  
3 technical order out of them is not an easy  
4 undertaking and really just wanted to give my thanks  
5 to Bob and his coauthors on that report.

6 So with that, I wanted to update you on our  
7 water modeling activities. Again, the goal is to be  
8 able to provide monthly concentrations of various  
9 constituents from the time the plant started  
10 operating, water started being delivered, to the  
11 time of, to the health study time.

12 For modeling purposes, because of both  
13 hydraulic and fate and transport requirements, we  
14 are modeling a very large time frame beginning  
15 basically January 1941 going through December 2008,  
16 that's on monthly. And we're doing that on calendar  
17 month what we call stress periods when we turn on  
18 these wells.

19 We have developed, and this is the map I've  
20 shown you out here, this is the active model area  
21 for flow for hydraulic considerations. On the west  
22 is bordered by a water boundary, Northeast Creek,  
23 and which is a hydraulic boundary. And then to the  
24 east we go to the ^ divide. That is a modeling  
25 requirement. It makes it at least about 50-to-80

1 times larger than Tarawa Terrace.

2 So this is what we call the active model area.  
3 Within this area we will compute water levels and  
4 then within the smaller red areas in here, in here  
5 and in here, we will compute fate and transport  
6 properties and concentrations. The actual grid for  
7 determining water levels and simulating historical  
8 water levels, we use a 300-by-300 foot grid. I'll  
9 load that up in a minute. I'll need to see if it'll  
10 allow me to blow that up some. Okay, here we go.

11 And that basically results in a model that's  
12 172 rows by 152 columns. It's actually smaller from  
13 a computational standpoint than the Tarawa Terrace  
14 model. And that's sufficiently refined for water  
15 levels, for pumping if we were not concerned with  
16 transport. If all we wanted to do is find out what  
17 water levels were historically or present day, this  
18 is fine, and in fact, this is the grid that we're  
19 using for our predevelopment prior to when pumping  
20 starts which we have to get a starting water level.  
21 And it's also good for pumping, for just general  
22 water level considerations.

23 And we have calibrated our 95 percent  
24 calibrated with the predevelopment model. It'll  
25 stay at 95 percent because as, if you remember from



1           because it's so refined, okay, I'll have to zoom in.  
2           The blue areas are the streams and stuff like that,  
3           but those are individual blocks. They're not  
4           colored in; it is so refined.

5           I'm going to zoom in on this area right here,  
6           that's industrial area, and now you see the 50-by-50  
7           foot cells in there. And that is strictly required  
8           to honor numerical requirements for fate and  
9           transport modeling for these types of numerical  
10          models.

11          With all that said, the run a complete  
12          simulation to test out from 1941 to 2008, 816 stress  
13          periods, putting in about ten example wells with  
14          this 50-by-50 foot grid. That grid is basically --  
15          you're using a model consisting of over seven  
16          million nodes per solution locations, and it runs  
17          between four and five hours, which is unbelievably  
18          fast for a model that size.

19          So it can be done. We're doing it, and  
20          obviously the transport will be in the smaller areas  
21          so it won't take quite as long as that, but even at  
22          four or five hours per run that's very doable.

23          So where we are currently just to summarize, we  
24          have basically, we're satisfied with the  
25          predevelopment calibration, 95 percent calibrated

1 and will remain that way. We are beginning to input  
2 the pumping information. We do have it on a monthly  
3 basis through our work with Georgia Tech to  
4 synthesize operations on a monthly basis for the  
5 transient, that is the water supply well operations  
6 as they were introduced, turned on, turned off on a  
7 monthly basis in the model, and then we will proceed  
8 with the fate and transport.

9 And I believe that is all I have to add. We  
10 have everybody working, fully working, on the  
11 modeling and on data analyses as needed for the  
12 modeling and on the reports at the same time. So  
13 I'll be happy to answer any questions. If I've left  
14 out anything, somebody just yell out and I'll try to  
15 address it.

16 **MR. ENSMINGER:** Morris.

17 **MR. MASLIA:** Yes.

18 **MR. ENSMINGER:** In the earlier conversation that we  
19 had about CLW-1406, where the 2,500 parts per  
20 billion of benzene was shown in the water in  
21 November of 1985, 38 parts per billion in December  
22 of 1985, you had mentioned that there was some  
23 question as to whether this was finished water or  
24 whether it was raw water before it was treated. The  
25 letter says finished water.

1           **MR. MASLIA:** Right, that was not, actually the  
2 question is not whether, the question is, and it's  
3 footnoted in Chapter C in the table is, it says the  
4 treatment status is unknown, okay? And there's a  
5 difference. We're not questioning that the sample  
6 was taken some place at the treatment plant, but  
7 where in the process of the treatment, in other  
8 words, raw water comes in, mixes in a raw water tank  
9 and then you can take a sample.

10           You can take it somewhere in the treatment  
11 process, and you can take it, like at TT, there's a  
12 sample location which we know the identity of at  
13 Building 38, which was on the delivery side of that.  
14 And so that's what we don't know about, and that is  
15 an important piece of information.

16           **MR. ENSMINGER:** Yeah, but it says finished water. I  
17 mean, you got to go with what it says, finished.

18           **MR. MASLIA:** But it doesn't say where. Finished  
19 water could be any place and once it's mixed and  
20 they start treating it, it could be in the tank, it  
21 could be on the side of the building. I don't know  
22 where they took the sample. We've asked that  
23 question directly to the chemist who wrote that, and  
24 her answer is she does not know. And I think it's  
25 important to footnote that, and we did in Chapter C.

1           **MR. STALLARD:** So are you assuming that finished  
2 means at the point of drinkable?

3           **MR. ENSMINGER:** Yes, I mean, finished is finished.

4           **MR. PARTAIN:** I mean, Morris, is that the  
5 uncertainty is whether this is pretreated water or  
6 drinkable water or --

7           **MR. MASLIA:** I would like to know where in the  
8 treatment process the sample was taken. What I'm  
9 saying is, and I've used Tarawa Terrace as an  
10 example because I know exactly where the treatment  
11 process, those samples were taken because I knew the  
12 location of the sampling.

13           **MR. PARTAIN:** So is the dispute whether or not this  
14 was water that was ready to be consumed or --

15           **MR. MASLIA:** Yes.

16           **MR. PARTAIN:** -- water that was in the process of  
17 being treated for consumption?

18           **MR. MASLIA:** Yes, yes.

19           **MR. PARTAIN:** Because -- and I've seen this term  
20 used -- but in the enclosures to the document it  
21 says chemical analysis results at Hadnot Point  
22 finished water. And I've seen the word finished  
23 water appear in other documents relating to water  
24 that was post-treated. It was ready for consumption  
25 and distribution. So, I mean, that would --

1           **MR. MASLIA:** Again, we are not in our analysis doing  
2 any treatment analyses. In other words we're not  
3 analyzing the water as it travels through the plant.  
4 It's either raw or it's treated, finished, the  
5 water's finished. The whole point was we wanted to  
6 be as clear as we could.

7           **MR. PARTAIN:** Well I think we have, while we're  
8 working, I'll go look for the documents for the JTC  
9 lab reports for the other months that weren't  
10 showing anything, I believe they were taken in  
11 Building 20, and I'll go find that.

12                   But you mentioned that the 2,500 parts per  
13 billion, that's the point that's in question because  
14 that's an extreme hit for benzene in the finished  
15 water.

16           **MR. MASLIA:** Yes.

17           **MR. PARTAIN:** What other things have you done to  
18 verify that because all we have is this chart. We  
19 don't actually have any other --

20           **MR. MASLIA:** We had a telephone conference,  
21 unofficial, with the chemist, Ms. Betz, who's now  
22 with EPA, and asked her a series of questions about  
23 that. That was on October 13<sup>th</sup>, representatives from  
24 the Marine Corps, ATSDR, were on the phone as well,  
25 and we specifically asked her what she intended or

1 what she meant by her remark, I believe it says --

2 **MR. PARTAIN:** Non-representative.

3 **MR. MASLIA:** -- and her answer was basically that  
4 because she saw benzene concentrations, constituent  
5 concentrations, jumping around. You know, the high  
6 hit of 2500 down to 38 and all that over a period,  
7 she said that meant that that was just not a  
8 representative concentration. She was not  
9 questioning the QA/QC on the sample analysis or the  
10 result itself.

11 **MR. ENSMINGER:** So they're valid?

12 **MR. MASLIA:** That was her answer, and we --

13 **MR. PARTAIN:** Well, going into that, and here's a  
14 concern here, the document that this letter's  
15 attached to is signed by Jullian Wooten. It says  
16 enclosures one and two indicate no immediate concern  
17 over the quality of water in the two systems at  
18 Tarawa Terrace and Hadnot Point. While periodic  
19 readings of benzene are felt to be a quality control  
20 problem -- which we've heard many times before when  
21 there's a problem -- and sampling and/or laboratory  
22 analysis. Supplies for each raw water well for  
23 Hadnot Point were taken by N Read last week.  
24 Results are anticipated in early February.

25 Two things, one they're saying we've got the

1 boogey man quality control problem which whenever  
2 there's an issue that's the terminology that comes  
3 out from the Marine Corps.

4 **MR. ENSMINGER:** An anomaly.

5 **MR. PARTAIN:** So they're saying that there, but  
6 that's just saying that was a valid reading, is that  
7 correct?

8 **MR. MASLIA:** That's correct. I asked her, I  
9 repeated a question in a slightly different manner I  
10 believe. Bob can correct me. He was on the phone  
11 too. I mean, I went back after she gave me her  
12 initial answer and followed up with a follow-up just  
13 to make sure I was, I clearly understood.

14 **MR. PARTAIN:** Are there plans to get this in writing  
15 because, as you know, verbal things change over time  
16 and what have you. But this is something important.  
17 Like I said, 2500 parts per billion is an extreme  
18 amount of benzene in the finished water.

19 **MR. MASLIA:** Yes, there are plans to get that, and  
20 actually that falls under the data discovery and  
21 mining activities work group. Sven Rodenbeck can  
22 actually give you specifics. The plans are in  
23 progress to actually have an attributable statement  
24 from Ms. Betz in writing.

25 **MR. ENSMINGER:** Another thing, another thing in that

1 letter is this is the samples taken from all the  
2 Hadnot Point wells and the results were expected in  
3 early February. And we can't find those, and I've  
4 asked Morris.

5 You can't find those analytical results for  
6 those raw water wells, and they were talking about  
7 doing the Tarawa Terrace wells right after that.

8 **MR. MASLIA:** We continue to ask the Marines, and  
9 they know our position, for any and all information  
10 that they have.

11 **MR. ENSMINGER:** You know, I find this so convenient  
12 that out of a whole year's worth of water samples  
13 from two water distribution systems, Tarawa Terrace  
14 and Hadnot Point, a whole year's worth, we found  
15 every laboratory analytical result sheet in the  
16 files except for the two that showed benzene. Those  
17 are missing. Gee, go figure.

18 Now, November of 1985 is a year past the point  
19 where they said they took all the benzene  
20 contaminated wells offline. The two wells that  
21 showed benzene in 1984 were taken offline in  
22 November and December of 1984. Where in the hell  
23 did this slug come from? There wasn't any operating  
24 wells even close to any of the points where benzene  
25 fuel contamination was operating. There weren't any

1 more wells operating even close to those areas.

2 Where does this slug come from?

3 **MR. MASLIA:** It's one of these of a model as a tool  
4 that can let you look at --

5 **MR. ENSMINGER:** Did they turn one of those wells  
6 back on?

7 **MR. MASLIA:** At this point I don't want to answer  
8 that because we haven't looked at that, but we have  
9 looked at similar, not with benzene, but similar  
10 well operation issues at Tarawa Terrace. And the  
11 model gave us some insights into that, and that is  
12 one of the uses of a model as a tool is to look at  
13 plausible operational scenarios when, in fact, we  
14 have limited or missing information.

15 **MR. STALLARD:** Anything else for Morris because  
16 we're right at a break?

17 **MR. PARTAIN:** One thing real quick. When you're  
18 talking about the samples I'm looking at one of the  
19 documents of the JTC Labs which comprise the chart  
20 that's put together, and it's saying the sample  
21 points need to be 20, and, I guess, they've got the  
22 time, 1405, June 24<sup>th</sup>, 1985. So, I mean, like I say,  
23 going back to, they're taking, this is a sample  
24 taken from the finished water at Building 20.

25 **MR. MASLIA:** Right. I did ask during our

1 conversation with Ms. Betz, I addressed that issue  
2 of where in Building 20, up in Building 20, and  
3 there are lots of places you can take samples from.

4 **MR. ENSMINGER:** They have a sink in there.

5 **MR. MASLIA:** Well, I'm saying, that's, and so I  
6 wanted to know if she recalled or had documented  
7 where precisely --

8 **MR. ENSMINGER:** They might have dipped it out of the  
9 toilet.

10 **MR. MASLIA:** -- they had taken the samples, and she  
11 did not recall. She did not have that information.

12 **MR. PARTAIN:** But if I heard you correctly earlier  
13 though, the point is, the concern is finished water  
14 meaning this is drinkable, serviceable water.

15 **MR. MASLIA:** Right, right.

16 **MR. PARTAIN:** And that's what you need to know.

17 **MR. MASLIA:** Yes, yes.

18 **MR. PARTAIN:** And from the indications in the  
19 document it says it's finished water so logically  
20 this is finished water, not raw water but pretreated  
21 water?

22 **MR. MASLIA:** (no response)

23 **MR. PARTAIN:** Yes?

24 **MR. MASLIA:** Yes, yes, yes.

25 **MR. BYRON:** This is Jeff Byron. Since this

1 individual works for the EPA and I suspect that  
2 there's a possibility we could have her in here at a  
3 meeting to answer some questions. She's a  
4 government official. Is that correct?

5 **MR. MASLIA:** Ms. Betz, I'm not sure I'm the one to  
6 tell you how to go about doing it.

7 **MR. BYRON:** I'm not too sure she'd want to show up  
8 here. She could answer some questions in front of  
9 the CAP.

10 **MR. MASLIA:** In her defense she was very cooperative  
11 and answered every question we had. Bob could  
12 attest to that as well. We gave her an opportunity  
13 to say anything she wanted to say, and when we asked  
14 her to refine or expound on something, she did. So  
15 there was no issue there. But that gets into  
16 administrative legal issues, and that's well beyond  
17 my expertise or desire to be involved with, and so I  
18 will have to defer to someone else to address that  
19 issue.

20 **MR. BYRON:** This is Jeff again. I wasn't really  
21 meaning as far as legal issues, but she has  
22 knowledge of the water system. She's involved in  
23 this all along. I mean, if she was here in the  
24 meeting, I think she could clear up a lot of things  
25 personally right here.

1           **MR. PARTAIN:** I think as a CAP we should extend an  
2 invitation for Ms. Betz to come. Whether she  
3 accepts it or not that's up to her or what have you,  
4 but we can at least have ATSDR make that request.

5           **MR. BYRON:** And I second the motion.

6           **MR. STALLARD:** Okay. Well, I mean, you clearly  
7 expressed an interest in hearing from her, those  
8 involved.

9           **MR. BYRON:** Actually, we're talking about that, even  
10 those who were running the lab. I mean, why can't  
11 they be here if they're doing government work and  
12 getting paid by the taxpayer?

13          **MR. STALLARD:** Thank you.

14                   All right, let me just make a point before we  
15 break. Our staff at ATSDR working with these  
16 individuals, if there's value added I'm sure that it  
17 would be a worthwhile pursuit because the staff here  
18 is working closely with them to get that  
19 information.

20                   So let's take a 15-minute break, those on the  
21 phone. We will please be seated ready to resume at  
22 10:35.

23                   (Whereupon, the meeting was adjourned to resume  
24 at 10:35 a.m.)

25          **MR. STALLARD:** Before we start, I have two things.

1 I'd like to welcome Sven Rodenbeck who's here at the  
2 table with us and Ms. Terry Walters. Welcome.

3 And Morris would like to clarify something.

4 **MR. MASLIA:** Let me just clarify during our  
5 discussion prior to the break, came upon the routine  
6 reading at the Hadnot Point treatment plant,  
7 Building 20, that was taken in December of 19 --

8 **MR. PARTAIN:** 'Eighty-five.

9 **MR. MASLIA:** -- 'Eighty-five, of 2500 parts per  
10 billion. And, of course, we note in Chapter C that  
11 the treatment status is unknown. We reference  
12 Document 1406, which is on the DVDs that were  
13 released with the Tarawa Terrace Chapter A report.  
14 That's what we're referring to.

15 And, in fact, reading carefully and clearly it  
16 does say finished water, chemical analysis results  
17 for Hadnot Point finished water. That would  
18 indicate that in fact that sample would not be fit  
19 but would be part of the finished water delivered  
20 for drinking.

21 **MR. PARTAIN:** Thank you.

22 **MR. MASLIA:** I just wanted to clarify that up.  
23 Again, we have not begun modeling the Hadnot Point  
24 system in earnest for finished water so that is  
25 consistent. But we'll model it consistently as we

1 did with Tarawa Terrace where we modeled finished  
2 water.

3 **MR. PARTAIN:** So a couple quick follow ups on that,  
4 Morris. Number one, so for modeling purposes this  
5 is a valid data point of an exposure of 2500 parts  
6 per billion?

7 **MR. MASLIA:** It's a valid concentration, the sample  
8 that we will compare results against.

9 **MR. PARTAIN:** Okay, now the, would this -- and this  
10 is something that I know Jerry and I have been  
11 working over the past couple months trying, or the  
12 past couple years since we found this trying to  
13 figure out where this came from. Do you have any  
14 explanation of why this suddenly popped up, the 2500  
15 parts per billion?

16 **MR. MASLIA:** No. Obviously, the record, when I say  
17 record, the documents that we have indicated wells  
18 were taken offline. Again, we don't have complete  
19 sets of record so we can't say necessarily if a well  
20 was needed to be turned on or turned off. We saw  
21 that happening in Tarawa Terrace based on model  
22 results. That's where the model comes in handy is  
23 looking at different operational scenarios which we  
24 will be looking at and seeing if those scenarios  
25 present plausible methods of operation.

1 I can't tell you at this point. We had a  
2 sample, and that's a piece of data. Our approach  
3 has always been not to exclude data just because on  
4 seeing it it looks out of the ordinary in other  
5 words. That's why it's in Chapter C. We've asked  
6 Ms. Betz about it. We will be -- soon we'll address  
7 this, we will be getting her responses officially,  
8 so to speak, in writing at some point.

9 **MR. PARTAIN:** Have you identified any wells that  
10 were turned off within a year of that sample point  
11 that were contaminated with benzene?

12 **MR. MASLIA:** The two wells that come to mind are  
13 obviously 602 and --

14 **MR. ENSMINGER:** Six fifty.

15 **MR. MASLIA:** No, there was one other one that had a  
16 low --

17 **MR. PARTAIN:** Six forty-five was part of the Holcomb  
18 system, right?

19 **MR. FAYE:** So 645 is part of the Holcomb Boulevard  
20 system.

21 **MR. PARTAIN:** That wouldn't show up in the finished  
22 sample that we have for Hadnot Point unless --

23 **MR. MASLIA:** No, no. But as far as our well  
24 operations, we've got well operations for every well  
25 by every month.

1           **MR. FAYE:** Well, in November and December of '84  
2           there were two wells showed hits of benzene. The  
3           worst one was 602. I think 660 showed a small  
4           amount of benzene as well.

5           **MR. MASLIA:** 608.

6           **MR. FAYE:** And both of those were supposedly taken  
7           offline.

8           **MR. PARTAIN:** So we've been looking for evidence of  
9           another well that may have been popped up  
10          contaminated and shut down. But from what I've  
11          seen, I don't see any other wells that were shut  
12          down on the Hadnot Point system after that November-  
13          December '85 reading so something's going on here.

14                 We do know that there was a sample point, a raw  
15          water well sampling completed in February of '86,  
16          but there's no analytical data sheets for that. And  
17          I'm assuming you guys are looking for it. Have you  
18          made a request in writing for those sheets based on  
19          this document, CLW-1406?

20          **MR. MASLIA:** Again, we have our --

21          **MR. FAYE:** Those samples are -- I have to go back  
22          and check my notes again, but I believe that those  
23          analyses that you're talking about are the ones that  
24          are published in Chapter C under January 16<sup>th</sup> of  
25          1985.

1           **MR. PARTAIN:** 'Eighty-five or '86?

2           **MR. FAYE:** 'Eighty-five. Oh, you're talking about  
3 '86?

4           **MR. PARTAIN:** Yeah, well, see CLW-1406 references a  
5 sampling event that took place as a result of these  
6 readings. The date of the letter is January of  
7 1986, and that the wells on the Hadnot Point system  
8 weren't going to be re-sampled between January and  
9 February of '86.

10          **MR. FAYE:** No, they were sampled already. They were  
11 waiting for the results.

12          **MR. PARTAIN:** Okay. So and we haven't seen any  
13 sample results. Specifically what the document  
14 says, if I can find it here. (Reading) Samples of  
15 each active raw water well for the Hadnot Point  
16 system was taken by N Read and BMO last week. The  
17 date of the letter is January 24<sup>th</sup>, 1986, so this  
18 would have been taking place mid-January of '86, the  
19 sampling. And they say the results are anticipated  
20 in early February of 1986. I have yet to see --

21          **MR. FAYE:** I'm guessing, but I'm thinking those  
22 samples probably were just for THM --

23          **MR. PARTAIN:** No, no, they were referring to --

24          **MR. ENSMINGER:** -- the raw wells.

25          **MR. PARTAIN:** Here's exactly what it says.

1 (Reading) Closures one and two indicate no immediate  
2 concern over the quality of water in the systems at  
3 Tarawa Terrace and Hadnot Point. While periodic  
4 readings of benzene are felt to be a quality control  
5 problem in sampling and/or laboratory analysis,  
6 samples of each water well for Hadnot Point was  
7 taken by N Read and BMO last week.

8 Reading that, they're going back and sampling  
9 each and every individual water well for Hadnot  
10 Point, and they're talking about benzene. So logic  
11 says that they should be looking for benzene.

12 We have yet to see the sample results. I don't  
13 know where they're at. I have not seen them in the  
14 documents. And that's something you guys, I mean,  
15 to me if there's a rogue well floating out there  
16 with benzene in it, it should have showed up here.

17 **MR. FAYE:** If it's not published in Chapter C, then  
18 we haven't seen them either.

19 **MR. PARTAIN:** Okay, that's something that we  
20 probably I guess would recommend you guys put in  
21 writing to the Marine Corps and ask where these  
22 sample results are.

23 **MR. MASLIA:** We have, and I'll defer to Sven  
24 Rodenbeck, but through the data discovery it's clear  
25 to everyone that we want any and all information

1           whatever it is. We'll determine the relevancy of  
2           it, okay? And that's been the mission or the  
3           mission statement of that. It's clear. It's been  
4           signed off at the highest levels. There is no  
5           question that we want everything. I mean, that's  
6           clear.

7           **MR. STALLARD:** Thank you, Morris.

8           **MR. PARTAIN:** To me, you know, without another well  
9           with benzene they had, if there's not another well  
10          out there that had benzene in it, then logically the  
11          only thing they could have done is turn on or  
12          reactivate a contaminated well.

13          **MR. STALLARD:** That's a perfect segue for us to move  
14          into the Data Mining Workgroup.

15          **DATA MINING WORKGROUP**

16                 Sven.

17          **MR. RODENBECK:** Yes, good morning, everybody. Since  
18          the last CAP meeting, the Camp Lejeune Data Mining  
19          and Technical Workgroup has met twice on October 4<sup>th</sup>  
20          and 18<sup>th</sup>, and the summaries of those conference  
21          calls are on the web page, the ATSDR web page. The  
22          slow posting of the October 18<sup>th</sup> meeting summary was  
23          strictly my slip up. There was no real delay or  
24          anything on that. It just, I didn't get around to  
25          it, folks. I'm sorry.

1            Since the October meeting we've been continuing  
2 activities, and some of those activities -- this is  
3 not a comprehensive list -- include that a few more  
4 of water treatment plant logs have been found, a few  
5 months' worth, from the '50s and also the '70s.  
6 Those have been given to ATSDR, and we are  
7 currently, Morris's staff is currently evaluating  
8 them to see how they can best be used.

9            More than likely that will mean that Action  
10 Item Number 19 has been completed. Of course,  
11 action items will not be officially stated completed  
12 until the next workgroup meeting, and there's  
13 consensus on every one that it is completed, but  
14 that's my anticipation.

15           Department of the Navy has completed the  
16 listing of, well, has almost completed the listing  
17 of former contractors. And this has to do directly  
18 with the subject that you were just discussing. We  
19 intend to take that list of former contractors and  
20 specifically at this time focus in on laboratories  
21 or people who may have done data, analytical  
22 results, drinking water-type things, and send them a  
23 joint letter, ATSDR and the Navy, requesting that  
24 they look into their individual files to see if by  
25 chance they still have some information regarding

1           those sampling events. We'll see what happens. So  
2           that's a to-do item, and that's related to Action  
3           Item Number 26.

4           ATSDR and Navy staff visited the North Carolina  
5           State Archives. This is related to Action Item  
6           Number 32. This is a re-visit. ATSDR staff and  
7           also Navy staff separately have been there already.  
8           The very limited information that they found there  
9           was copied and shared with both parties. So that  
10          will probably complete Action Item Number 32.

11          The other thing I want to bring to your  
12          attention is the Department of Navy has completed  
13          reconciling, using the North Carolina Department of  
14          Environmental and Natural Resources underground  
15          storage tank files related to Camp Lejeune with what  
16          the Navy has on their portal. Basically no  
17          significant difference was found.

18          The only differences were there were a bunch of  
19          transmittal letters and memos that weren't in the  
20          Navy archives at that point. Of course, that  
21          information if needed we will take a look at data  
22          transmission, but they're probably the typical  
23          here's report number bum-bum-bum-bum-type  
24          transmission thing. More than likely that means  
25          Action Item 38 is completed.

1           Workgroup activities will be primarily focusing  
2           on ATSDR's final review of the Consolidated  
3           Repository there at Camp Lejeune. Unfortunately,  
4           given the time of the year and other activities just  
5           trying to schedule enough staff to do that has been  
6           an issue on our end. And that's my summary. I'd be  
7           more than happy to answer any questions you may  
8           have.

9           **MR. ENSMINGER:** There was a time when, Morris, you  
10          and Bob went to the state archives. This is a  
11          couple years ago.

12          **MR. MASLIA:** March, 2004.

13          **MR. ENSMINGER:** Yeah, yeah, more than a couple. And  
14          there was a period of time in those files where  
15          everything was there, the permits and all that stuff  
16          was there and then you came across a folder where  
17          everything was gone. Remember that?

18          **MR. MASLIA:** Yes.

19          **MR. ENSMINGER:** And it was a period of time in the  
20          '80s when all this contamination was identified and  
21          was there anything back in that folder whenever he  
22          went back up this time? Did anybody locate the  
23          stuff that was missing out of that folder?

24          **MR. MASLIA:** No, no. They, a colleague, Chris  
25          Fletcher, I forget who from the Marine Corps, Scott?

1           **MR. FAYE:** I believe it was Scott.

2           **MR. MASLIA:** Scott Williams accompanied them, and  
3 they went back into the historical archives, and  
4 there was still not anything from that period. I  
5 think if I recall correctly it was from about late-,  
6 middle-, late-1960s through '89 there were no  
7 historical documents in the State of North Carolina  
8 Archives.

9           **MR. ENSMINGER:** But the folder was there.

10          **MR. MASLIA:** The folder with the year or decade --

11          **MR. ENSMINGER:** The period.

12          **MR. MASLIA:** Yeah, we found some records from the  
13 '40s and '50s and '90s and stuff like that. So we  
14 specifically asked as part of the Data Mining  
15 Workgroup to go back into the state archives to see  
16 if, in fact, we had missed something or what and  
17 that period they still did not find anything.

18          **MR. ENSMINGER:** They're there somewhere. Somebody  
19 probably took the stuff out of there and stuck it in  
20 a folder somewhere else.

21          **MR. STALLARD:** Are there any other questions for  
22 Sven?

23          **MR. BYRON:** Yes, this is Jeff Byron. I am wondering  
24 as far as what falls under CERCLA. There are  
25 document retention, I guess, for an aerospace

1 manufacturer? If I manufacture a part, and it  
2 rotates in the engine, I have to keep all the  
3 documentation for 30 years. If it's a non-critical  
4 part, it might be 15 or seven years. But any  
5 rotation part where someone could lose their life is  
6 30. So who can tell me here what the document  
7 retention is for issues concerning environmental  
8 concerns?

9 **MR. FAYE:** I think CERCLA requirements, I think they  
10 vary, but for IRP sites, I think it's 50 years.

11 **MR. BYRON:** So then how did these guys lose those  
12 documents and who's culpable? And maybe they should  
13 be up here and invited to the meetings.

14 **MR. FAYE:** It's an archive. You know, an archive is  
15 only -- only contains documents that a particular  
16 agency from the state would provide it. So the  
17 archive I don't think would be responsible for the  
18 retention of the documents. It would be the EPA  
19 surrogate agency in the state which I believe is  
20 NCDNR that would be responsible for retaining the  
21 documents.

22 **MR. FONTELLA:** And we need to be clear here that  
23 Camp Lejeune being a federal facility, the EPA more  
24 than likely would have maintained control over that.  
25 They would not have given that over to the state.

1           **MR. BYRON:** So is there a chance then that the EPA  
2 has a database of these documents?

3           **MR. ENSMINGER:** No, the EPA doesn't have anything.

4           **MR. RODENBECK:** The workgroup looked at that issue.  
5 Basically, for the CERCLA, CERCLA activities, not  
6 the underground storage tanks, not the drinking  
7 water or anything, that's strictly CERCLA its  
8 responsibility from what we've been told from the  
9 Navy to maintain the CERCLA files. And that is  
10 maintained on their portal and stuff like that. So  
11 when we're talking about like these drinking water  
12 samples, that is non-CERCLA.

13          **MR. ENSMINGER:** Then let me ask you this. When you  
14 went back up to the archives, what is their  
15 procedures for signing people in and out of there?  
16 Do they have a logbook where you can see who would  
17 have been there and who would have, when they had  
18 been there, what time they left?

19          **MR. MASLIA:** I did not make that trip. Chris  
20 Fletcher from our staff did and Scott Williams did  
21 so I can't answer what it was this time. But I can  
22 tell you there was significant discussions or e-  
23 mails that it was significantly different than when  
24 Bob and I went in 2004.

25                       When Bob and I went in 2004, I believe we

1 signed in with a card. There was a guy at a grey  
2 metal government desk sitting there. We said Camp  
3 Lejeune, and he headed out the door. No. Pleased  
4 to see us. But all we did was sign a card and then  
5 we went up the stairs and told what we were looking  
6 for, and we were, and whatever files we wanted to  
7 see or look at. We were given carte blanche to look  
8 at those files.

9 **MR. ENSMINGER:** So you did sign in?

10 **MR. MASLIA:** We signed, Bob and I did. I don't know  
11 what their, I have not seen their protocol. But I  
12 can tell you this time around I know it was  
13 significantly different.

14 **MR. ENSMINGER:** What was significantly different?

15 **MR. MASLIA:** I believe more formal requests were  
16 needed.

17 **MR. ENSMINGER:** Oh, so they're learning. Is that  
18 what you're saying? Some changes have been made?

19 **MR. MASLIA:** Definite changes have been made.

20 **MR. ENSMINGER:** Well, that's one accomplishment.

21 **MR. STALLARD:** For the security of the information  
22 and the data.

23 **MR. MASLIA:** Again, for all CAP members I want to  
24 make it clear when Bob and I went up in 2004 we were  
25 not going to the archives expecting this is where

1 all the historical documents related on water  
2 modeling would be. We were looking for any  
3 ancillary documents because we had visited the state  
4 health department earlier that morning, gotten water  
5 use, water supply, USGS and all that.

6 We just wanted to sort of cover the entire  
7 territory so to speak. And we came upon this, and  
8 we did find some documents, as I said, in the '40s  
9 and '50s and '90s. And so we thought, I still  
10 think, that obviously whoever made the decision to  
11 archive certain documents did that, and we noted  
12 that there were empty folders from the '60s through  
13 the end of the '80s in this archive.

14 **MR. STALLARD:** Thank you, Morris.

15 Are there any other questions for Sven?

16 **MR. PARTAIN:** Yes, Sven, you mentioned you found  
17 some water treatment plant logbooks in the '50s and  
18 the '70s. Have they been scanned?

19 **MR. RODENBECK:** ATSDR has them.

20 **MR. PARTAIN:** Okay, and I'd like to make a request  
21 to get a copy of those as far as an electronic copy  
22 of those.

23 **MR. RODENBECK:** Make a request, absolutely. Be  
24 aware those are Navy documents so the request may  
25 need to go to the Navy.

1           **MR. PARTAIN:** Okay, I mean, last time when we had  
2           the Navy-UST we met as a CAP, you could request to  
3           get the documents. I mean, hopefully, there won't  
4           be a problem and ordeal like the last time with the  
5           UST files, but these are the water treatment plant  
6           logbooks, and we had seen previously on the CLW  
7           documents. I mean, I don't see why there should be  
8           a problem. But as a CAP member I'd like to see if  
9           we can get copies of those documents electronically.

10          **MR. MASLIA:** Let me clarify something because don't  
11          confuse what we have just received from the data  
12          mining thing to be the equivalent of the water plant  
13          logbooks that we have for like Tarawa Terrace and  
14          from the Navy in the '90s. These were a few months  
15          of some water use, of water delivery, some  
16          information.

17          **MR. PARTAIN:** Well, you said water treatment  
18          logbooks.

19          **MR. MASLIA:** So they're not -- I want to clarify  
20          that -- they are not logbooks. There may be a sheet  
21          here, a sheet there, and just so you understand the  
22          difference between the two.

23          **MR. PARTAIN:** I understand. I just heard water  
24          treatment plant logbook and piqued some interest  
25          there.

1           **MR. STALLARD:** Any other questions about the Data  
2 Mining Workgroup?

3           (no response)

4           **MR. STALLARD:** All right, well, excellent, back on  
5 schedule. Here we are.

6                     Thank you very much for coming here. Thanks  
7 for the update.

8           **MR. RODENBECK:** My pleasure.

9           **Q&A SESSION WITH THE VA**

10           **MR. STALLARD:** All right. Now is the portion in our  
11 agenda where we're going to have I think a  
12 discussion from our Veterans colleagues.

13                     So, Brad, would you take that away for us?

14           **MR. FLOHR:** Yes, thanks very much. I'm going to  
15 give you an update of what we're doing in terms of  
16 the benefits side of the issue at Camp Lejeune. Dr.  
17 Walters is here. We've been working together on a  
18 lot of these issues surrounding Camp Lejeune.

19                     We had the hearing. We have subsequently met  
20 with Senator Burr's staff on several occasions  
21 discussing Camp Lejeune and particularly claims  
22 processing. We've briefed our leadership on the  
23 issue of Camp Lejeune in our meetings with Senator  
24 Burr's staff.

25                     And our leadership right now including myself

1 are concerned with consistency of decision making,  
2 particularly in the interim while we're waiting for  
3 ATSDR to finish their studies on water modeling and  
4 the other studies that they're doing.

5 Consistency's an issue that is of great concern  
6 to a lot of our stakeholders. We want to be able to  
7 have a veteran who files a claim in the state of  
8 North Carolina and a veteran who files a claim in  
9 the state of Wyoming who have pretty much the same  
10 back pattern to get the same decision.

11 In an effort to do that we've decided to  
12 consolidate all of our claims processing of Camp  
13 Lejeune to one regional office that is going to be  
14 in Louisville. Louisville was selected because it  
15 is one of our highest performing and highest quality  
16 of decision-making offices.

17 To do that we're developing procedures for  
18 other regional offices to send their pending claims  
19 to Louisville. Some of them have been sent already.  
20 Some of them will be sent shortly. And I am trying  
21 to find time to go to Louisville myself and provide  
22 some training for the rating specialists that will  
23 be making the decisions.

24 I hope to go next week. I told Senator Burr's  
25 staff that we would be doing this before Christmas.

1 I plan to do that; if I can't make it next week,  
2 hopefully the week after which is the week of  
3 Christmas. I'm going to go there to give them  
4 training on not so much on how to adjudicate claims  
5 because they know that.

6 As I advised you when I first was here earlier  
7 this year, the claims process, the three  
8 requirements for a, for favorable determination is  
9 that there was an event, which is exposure at Camp  
10 Lejeune in this case. There's a current disease  
11 diagnosed or disability, and there's medical  
12 evidence associating the current claim condition  
13 with exposure to the contaminated drinking water.  
14 So that's for every case the VA processes.

15 So we provided some updated training to those  
16 people. Also though my main purpose of going there  
17 will be to sensitize those people to the issue. To  
18 let them know how significant it is, the concerns  
19 that you all have, to provide them, for example, you  
20 mentioned the ATSDR response to the NRC report.  
21 We'll discuss that.

22 We'll talk about the NRC report was flawed. We  
23 recognize that, but it was not completely flawed.  
24 They did have a list of 13 conditions that showed  
25 evidence suggested on this. We'll provide that

1 guidance, but it's going to be of utmost importance  
2 that the people who process the claims are going to  
3 be able to know what evidence is needed and how to  
4 assist a veteran in getting it.

5 So that's where we are with claims. We're  
6 going to do that, like I said, either next week or  
7 the week after, and we're going to proceed from  
8 there. We have placed an electronic flash in our  
9 development system, electronic development systems.  
10 Every claim that's received based on Camp Lejeune  
11 will be flashed in our development system, and  
12 that's currently in place.

13 In February we have an update to our rating  
14 automation system. We're going to put in a decision  
15 indicator for Camp Lejeune so the one who makes the  
16 decision for an indicator to grant or deny,  
17 whatever, we could be able to track all the cases.  
18 Starting in February we'll have tracking from every  
19 case that is done. And, of course, having them all  
20 in one office will make it a lot easier to track.  
21 So that's where we are now in the VA.

22 And Dr. Walters may have an update?

23 **MR. ENSMINGER:** I have a question. What about all  
24 the denials, the denied claims that have taken place  
25 prior to this consolidation? What are your efforts

1 going to be on those?

2 **MR. FLOHR:** Well, we're not looking at trying to re-  
3 adjudicate them because they've all been, we have  
4 been able to identify claims that were denied and  
5 claims that were granted, and we got them together  
6 and we looked at them. The overwhelming majority of  
7 claims is -- the reason for the denial is the lack  
8 of medical evidence providing a link between the  
9 claim for the disability and exposure to the  
10 contaminants.

11 That won't change if we go back and review them  
12 again. What is needed in those cases is new  
13 evidence, and any veteran's claim who's been denied  
14 -- and that's where the CAP can help folks 'cause  
15 you're in contact with these people and can always  
16 re-open a claim by submitting new evidence.

17 **MR. ENSMINGER:** Well, we've been in contact with a  
18 lot of our members, a lot of victims of Camp  
19 Lejeune, former Camp Lejeune Marines and sailors,  
20 and there appears to be absolutely no continuity in  
21 the decision-making process and why they were either  
22 granted or denied their benefits.

23 And Jim Fontella has put together a package on  
24 one specific claimant that's very detailed, and he  
25 went through all the case law on that claim. And

1           this man met every hurdle that was put in front of  
2           him, that his family did, and he's still being  
3           denied. And Jim just laid the case there by you.

4           But we've seen quite a few of those types of  
5           cases and to take these people that have been denied  
6           and leave them basically in the dust at the mercy of  
7           the people who previously denied them, I think is a  
8           great mistake. If you're going to educate these  
9           people in Louisville on these, on the subject and on  
10          this issue, I think that those people that have been  
11          previously denied should have the right to have this  
12          specialized team take a look at their case again at  
13          least.

14          **MR. FLOHR:** Well, again, you know, the claims  
15          decisions we make are legal decisions, and they're  
16          based on evidence, based on evidence we receive.  
17          And we have not, of course, because we had no way to  
18          identify every case, like one case we did see was  
19          from 1997. It's one of the oldest we've been able  
20          to identify, but I'm certain that the ones we have  
21          identified are not all the claims that have been  
22          filed. But, again, looking at denied cases, the  
23          decision wouldn't be changed if there was  
24          insufficient evidence, medical evidence, to  
25          associate what they had with exposure.

1           **MR. ENSMINGER:** Well, some of the denials, Jim's own  
2           personal denial, they said it were organo --

3           **MR. FONTELLA:** Jim Fontella. I brought five claims  
4           with me. One here I'm giving you I went into  
5           detail. In my own novice way I don't know much  
6           about VA law, but I was able to put together, based  
7           on your past history, VA past-case laws, why and how  
8           they denied this man is really is a travesty.

9                         I brought another. This is a well-grounded  
10           claim who was denied and then he went into the DRO  
11           hearing, offered new evidence which was a medical  
12           evaluation, and the evaluation itself wasn't even in  
13           the denial. And as a matter of fact, they changed  
14           the denial, I mean his medical evaluation, they had  
15           it listed in the certified list of evidence, but  
16           they don't list it as a medical evaluation. It says  
17           -- I can go into it right now. It's on the first  
18           page of the statement of the claim, and it's listed  
19           --

20           **MR. FLOHR:** Is that this case here, Jim?

21           **MR. FONTELLA:** Yeah, right there. If you'll look at  
22           the supplemental statement of the claim it's listed  
23           as a report from Dr. Butler dated March 2010  
24           providing medical evaluations for veterans filing  
25           for benefits. And the nexus is in that packet as

1 well.

2 You can see that by the nexus and the -- and it  
3 clearly states that it's a medical evaluation. And  
4 he searched all his medical history, that he's  
5 investigated the Camp Lejeune water, that he's 30  
6 years Board certified in autoimmune diseases and so  
7 on and so forth, and was completely ignored in the  
8 whole thing. And he was denied again. Let me see.  
9 We'd have to read it together actually.

10 But in the other case they're changing, what  
11 I've seen in the denials that we're receiving  
12 because after the last CAP meeting we sent out a  
13 message to our membership. I want all the denials  
14 and the ones that were granted. And from what I've  
15 seen what the ROs are doing is they're changing the  
16 evidence, the claims.

17 They're either saying that there's no, the  
18 studies have not been completed on the water, that  
19 the -- let's see, it's presumptive to say that  
20 benzene was in the water. There's a -- what else  
21 is, oh, yeah. If you stated that you were exposed  
22 to TCE/PCE they might say change it to  
23 organophosphates, which is pesticides. There's  
24 organochlorines. Now these are terms I never heard  
25 of.

1           Just to give you an example, I'm a male breast  
2 cancer survivor. I've built three claims just with,  
3 not with their medical evidence, but with the  
4 evidence, because I have all the disks with the  
5 evidence of the contamination, and I've searched out  
6 all the clinical studies. Now I probably don't have  
7 them all, but I've given to four. One was approved,  
8 that was the one in Boston a couple of months ago, I  
9 guess, in August. One was denied, and the other two  
10 are still pending.

11       **MR. ENSMINGER:** And there's another one approved in  
12 New Jersey.

13       **MR. FONTELLA:** Well, that was, yeah, but I'm talking  
14 about what I had to do with. I didn't have anything  
15 to do with that one.

16           Okay, it says here in this one claim it says it  
17 changed the chemicals from benzene-bound chloride  
18 TCE and PCE to organochlorines, pesticides, DDT and  
19 PCBs, which I never gave that information. They  
20 just changed it on their own. Okay, this was a CNP  
21 examiner, and a VA physician also stated that there  
22 was, they are not associated with breast cancer as  
23 per large studies.

24           Well, after that I went into the Google again,  
25 and I -- originally I gave this guy ten studies, six

1 linking benzene to breast cancer, four studies  
2 linking PCE and TCE to breast cancer. Since then I  
3 went into Google, and I found eight studies linking  
4 DDT, DDE -- I brought them with me -- PCBs and  
5 dioxins to breast cancer or organochlorines. These  
6 are organochlorines.

7 So what he was stating wasn't even factual.  
8 What he was stating was he not only did change the  
9 evidence, but he based his evidence saying that it's  
10 less likely than not that organochlorines caused his  
11 breast cancer. Organochlorines was never mentioned  
12 in any of the evidence I gave this person. It was  
13 strictly on TCE, PCE and benzene.

14 Two on renal cell cancer. One of them said  
15 that it was more likely that his cancer was caused  
16 from smoking because he smoked for 11 years, and  
17 that there were, again, no studies connecting renal  
18 cancer to -- I mean, in all these there's a  
19 statement from the CMP examiner, every one of these  
20 claims that I brought, that says exactly that.  
21 There are no studies connecting -- I mean, I don't  
22 know what these guys are getting paid for.

23 I mean, they're not doing anything. How are  
24 they examining them? Are they looking for studies?  
25 All you have to do is hit Google and they'll come

1 up. So I'm just, that's what I'm talking about. I  
2 mean, and the rest of them, I mean, I've got a bunch  
3 of them. I've got about two dozen. But at the same  
4 time I wouldn't bring them because no medical  
5 evaluation, you know, just what you were saying. So  
6 I don't want to bring that up to you, but I just  
7 wanted to bring the ones that I saw that were  
8 flawed.

9 Now if you go into the one where I built his  
10 appeal for him, and I just sent it to his wife, and  
11 she is going to take it to the VA, but I found all  
12 the mistakes that he made, and I found case law that  
13 says that they have to reverse that decision based  
14 on the fact that they changed his evidence in the  
15 certified list of evidence, and they ignored the  
16 fact that he had a medical evaluation through the  
17 entire decision. And that was based on new evidence  
18 after he was denied going before the DRO.

19 **MR. FLOHR:** Jim, obviously I can't comment on it.

20 **MR. FONTELLA:** No, I understand exactly, but I'm  
21 just bringing this up to you to show you what we're  
22 up --

23 **MR. FLOHR:** Okay, and that's what we hope to do by  
24 getting all the claims worked into one office for  
25 consistent decisions.

1           **MR. PARTAIN:** We're going back to these denials and  
2           stuff. To cast a blanket we're not going to go back  
3           and look at these because of most of them have no  
4           medical --

5           **MR. FLOHR:** We've looked at the ones we've been able  
6           to identify already.

7           **MR. PARTAIN:** But looking at the one that Jim handed  
8           to you for the gentleman in Iowa. I mean, he has a  
9           six-page medical letter from a doctor connecting his  
10          disease to the exposures at Camp Lejeune. The  
11          effect of benzene, everything's in there. And then  
12          we look at the evidence like Jim was saying, the  
13          letter's not addressed as a medical report. It's  
14          just, it's basic a medical letter, nexus letter.

15                 It's addressed as a report and basically  
16          dismissed. They don't even talk about it. So  
17          without going back and look at these people to make  
18          sure that this isn't repeated over and over and cast  
19          a blanket statement, you're doing an injustice to a  
20          lot of people out there.

21          **MR. ENSMINGER:** And the stuff on renal cell  
22          carcinoma, I thought that, isn't that why the EPA's  
23          going to be here shortly classifying TCE as a known  
24          human carcinogen based upon renal cell carcinoma,  
25          right?

1           **MR. FONTELLA:** Well, I checked up on the studies for  
2           renal cell carcinoma connected to TCE and from the  
3           National Academy's and ATSDR, CDC, NIOSH, they all  
4           show studies connecting central nervous system --

5           **MR. ENSMINGER:** The NRC report even linked it.

6           **MR. FONTELLA:** Well, what I'm saying is for them to  
7           say there's no studies, I mean, and when these  
8           veterans get these replies back, they're confused.  
9           They're misleading. They don't know how to file  
10          their appeal or what, I mean, they get all, I mean  
11          it's really perplexing.

12          **MR. FLOHR:** I agree. It's a complex issue and  
13          hopefully we can get a better --

14          **MR. FONTELLA:** I think it's a good idea really if  
15          that happens. I mean, I am all for it. I'm all for  
16          it.

17          **MR. ENSMINGER:** I would hope that you would take  
18          that file and personally look at it.

19          **MR. FONTELLA:** Everything's in there, and it's all,  
20          like I said, I'm an amateur. I don't know much  
21          about VA law and I don't want to get into that. But  
22          you'll see just by me looking back through the DAD  
23          (sic) citations, the court citations, and finding  
24          the mistakes they made that that's really terrible.

25          **MR. FLOHR:** Yeah, I'll look at this and if I think

1           there's something questionable about it, we can  
2           always recall the file into my office and look at  
3           it.

4           **MR. STALLARD:** Wait a minute, it's not just about  
5           the file though, it's about the standardization of  
6           the process and the appeals to go back. What is the  
7           threshold of evidence and can they go back to this  
8           centralized place I guess is the question.

9           **MR. BYRON:** This is Jeff Byron. As a matter of fact  
10          all those that filed claims prior to even the BTEX  
11          being found, that's a reason right there to go back  
12          and look. That is new evidence. Just the fact that  
13          the benzene exposure was there presents new evidence  
14          for cases that might have filed prior to that  
15          anyway.

16                 The other question I have for you, is there a  
17          chance that I'd be able to attend that meeting in  
18          Louisville, because I live an hour and a half away?  
19          Thank you.

20          **MR. FLOHR:** That I don't know.

21          **MR. TOWNSEND (by Telephone):** I have a question.  
22          Tom Townsend here.

23          **MR. STALLARD:** Tom, yes.

24          **MR. TOWNSEND (by Telephone):** Hello?

25          **MR. STALLARD:** Hello, Tom. We can hear you.

1           **MR. TOWNSEND (by Telephone):** I'd like to get into  
2 this conversation.

3           **MR. STALLARD:** Okay, what would you like to say,  
4 Tom?

5           **MR. TOWNSEND (by Telephone):** I'd like to talk to  
6 the Veterans Administration. My claim has been  
7 filed for three years, and I'm currently waiting  
8 for, I'm on the docket for the Board of Veterans  
9 Appeals. Now, how do I know that my case has been  
10 transferred to Louisville?

11           **MR. FLOHR:** If you have an appeal pending at the  
12 Board of Veterans Appeals, that will not go to  
13 Louisville. That will remain with the Board who has  
14 jurisdiction. They will make the decision based on  
15 the appeal that you filed.

16           **MR. TOWNSEND (by Telephone):** The court will?

17           **MR. FLOHR:** No, the Board of Veterans Appeals will.

18           **MR. TOWNSEND (by Telephone):** Well, I mean the Board  
19 of Veterans Appeals. I would like, I mean, that  
20 puts me to a great deal of effort to go to the Board  
21 of Veterans Appeals when it could be handled at a  
22 lower level.

23           **MR. FLOHR:** Well, it can't. Once a decision's been  
24 made with which a veteran disagrees and files a  
25 formal notice of disagreement, and then gives the

1 statement of the case, and you've filed Form Nine  
2 which is the substantive appeal to the Board, the  
3 Board then has jurisdiction of that case, and they  
4 take it. The file is with the Board of Veterans  
5 Appeals, and they are required by law to make a  
6 decision on your appeal.

7 **MR. ENSMINGER:** This is Jerry. This is exactly why  
8 I asked about Dr. Portier's letter and being  
9 disseminated to everybody and anybody that's making  
10 these decisions. I mean, that letter disputes a lot  
11 of what was said in that NRC report. And a lot of  
12 your people are still operating off of that thing  
13 and making decisions because of that. And until  
14 they have that other information in their hands,  
15 they're going to continue making those decisions  
16 based upon that flawed NRC report.

17 **MR. FLOHR:** Well, that will be something I take with  
18 me to Louisville next week --

19 **MR. ENSMINGER:** I mean, in Tom's case and other  
20 guys, other veterans' cases that have been denied,  
21 that basically they are left to the, their cases are  
22 being left back there wherever their denial was  
23 made. And without this newer information refuting  
24 that stuff, what chance do they have of getting  
25 their appeals approved if they don't have all the

1 information?

2 They need that letter. Everybody needs that  
3 letter. I mean, the VA came out with a training  
4 letter that they sent around to all their regional  
5 offices based on the flawed NRC report. I think  
6 that same thing needs to be done with Dr. Portier's  
7 letter so that they are armed with all the  
8 information so that they can make educated  
9 decisions.

10 **MR. FLOHR:** As I said, I will discuss that with the  
11 people in Louisville.

12 **MR. TOWNSEND (by Telephone):** Wait, wait, wait a  
13 minute.

14 **MR. STALLARD:** Yeah, Tom's on here. Go ahead.

15 **MR. TOWNSEND (by Telephone):** I've been waiting, I  
16 have been continually going through the process of  
17 providing data from physicians, from neurologists,  
18 on my condition. The number of volatile organic  
19 compounds that have been determined since I filed my  
20 claim have gone from three to nine. How can I  
21 possibly keep up with the change?

22 If it's taking so long for this thing to grind  
23 through the process, how can I possibly keep up with  
24 the findings of wrongdoing on the part of the  
25 government? It's impossible. I'm sort of

1 discouraged that my claim is languishing as science  
2 and the work of ATSDR is passing me by.

3 **MR. BYRON:** Well, I hate to say this -- this is Jeff  
4 -- but in all honesty it's passing us all by. My  
5 kids aren't getting any younger waiting for, so you  
6 know, for the finish of these studies. I mean,  
7 we're all in that boat.

8 **MR. TOWNSEND (by Telephone):** Well, I'd like at some  
9 point in time, I'm probably the oldest of this mob,  
10 I'll be 80 in about a month, and I'm not looking for  
11 the finality or compensation particularly, but I'd  
12 like to have the Veterans Administration hear me and  
13 have all the knowledge that has been developed since  
14 I started my claim. Why do I have to deal with the  
15 Board of Veterans Appeals when other people can go  
16 get simply shifted off to Louisville ^?

17 **MR. FLOHR:** I don't understand your concern there.  
18 Just because all the claims are going to be  
19 processed in Louisville in no way means they're all  
20 going to be granted, sir. It all depends on the  
21 evidence of each individual case. In your case --

22 **MR. TOWNSEND/MR. FLOHR:** (Indiscernible).

23 **MR. FLOHR:** Excuse me, sir. Let me finish. Let me  
24 finish, Tom. In your case if you have additional  
25 evidence that you can submit, you can do that at any

1 time. You can submit it to the Board of Veterans  
2 Appeals. You can submit it to the regional office.  
3 And what the Board can do when they're looking at  
4 your appeal, they're looking at what was in your  
5 claims file at the time the decision was made. And  
6 if you have new evidence that you can submit in  
7 support of your claim, then it perhaps would be  
8 remanded back to the regional office for them to  
9 consider that new evidence such as what you're  
10 talking about.

11 **MR. TOWNSEND (by Telephone):** In the last three  
12 years there has been a considerable amount of new  
13 evidence. I mean, I submitted a long time ago when  
14 this thing first came up on the screen. And when  
15 we've gone from three VOCs identified to about ten.  
16 BTEX wasn't even a matter of issue at one point in  
17 time. I find myself, I find that in Idaho I feel  
18 like I'm being left out of the scrutiny that's being  
19 afforded to the people that are being shifted to  
20 Louisville.

21 **MR. STALLARD:** So, Tom, this is Christopher. So  
22 your claim has been there and let's say it's been  
23 going on for three years and you haven't had an  
24 opportunity to add new information. Is that  
25 basically it?

1           **MR. TOWNSEND (by Telephone):** No. I had --

2           **MR. STALLARD:** Hold on.

3                     Sandra, can you please turn your phone on mute  
4                     or something? Thank you.

5                     Go ahead.

6           **MR. TOWNSEND (by Telephone):** I have new data,  
7                     neurological exams by a neurologist, and I add, and  
8                     hopefully it's being added. I go to the VA because  
9                     I'm a 50 percent disabled veteran. All that stuff  
10                    should be in the pot.

11           **MR. STALLARD:** Okay, so I'm trying to summarize what  
12                    I think your message is, and I think that we're  
13                    hearing it loud and clear is that there's a certain  
14                    disadvantage to those who might already be in the  
15                    appeals process or claims process. That they don't  
16                    have the information that may be pertinent to their  
17                    case to strengthen it based on new information such  
18                    as Dr. Portier's letter. And that there's a concern  
19                    that the caregivers in this case, whether it's VA or  
20                    whomever is seeing you, also doesn't have that  
21                    information. Is that the bottom line?

22           **MR. ENSMINGER:** Tom, this is Jerry. Did you take  
23                    Dr. Portier's letter and submit it as part of your  
24                    appeal?

25           **MR. TOWNSEND (by Telephone):** No.

1           **MR. ENSMINGER:** Well, you need to.

2           **MR. TOWNSEND (by Telephone):** I figured if it went  
3 through the VA system, that the VA would know about  
4 it.

5           **MR. ENSMINGER:** Well, I mean, you're taking  
6 something for granted. You know how these  
7 bureaucracies work. I think you ought to take Dr.  
8 Portier's letter and submit it as part of your  
9 package. It would greatly benefit you to do that.

10          **MR. TOWNSEND (by Telephone):** Yeah. Well, I will  
11 try to do that, Jerry, if I have to. I'll find the  
12 letter, and I guess I better talk to the VA  
13 administrator in Idaho and get them online of what's  
14 going on.

15          **MR. ENSMINGER:** Well, I'll get Mike to send that  
16 letter to your e-mail right now so you don't have to  
17 search around for it. We've got it on file here.

18          **MR. TOWNSEND (by Telephone):** Okay.

19          **MR. ENSMINGER:** And, you know, that letter says a  
20 lot.

21          **MR. STALLARD:** It's on the website.

22                 All right, Tom, I have Jim here who is next in  
23 the queue for a question.

24          **MR. FONTELLA:** Yeah, Brad, at the last CAP meeting  
25 we talked at length about the NRC educational letter

1 with the NRC. Is there any question that any of the  
2 ROs know that there was benzene in the water? They  
3 should not be saying that it's presumptive that  
4 benzene was in the water. Am I correct? Why would  
5 they --

6 **MULTIPLE SPEAKERS:** (Indiscernible).

7 **MR. FONTELLA:** -- from the medical, from the doctor,  
8 they said that the doctor was being presumptive. So  
9 what I'm saying is you could have a nexus, you could  
10 have a well-grounded claim and still be denied. You  
11 can meet all the criteria, and this is what you're  
12 up against. I think the education of the ROs, I  
13 think there needs to be something done there, that  
14 they need -- I'm just reading the evidence.

15 I mean, if your claim is set up where you have,  
16 you meet all the criteria for what the VA says, I  
17 mean, I don't know where they come up with all this  
18 stuff. It almost makes it look like they change  
19 this stuff and the evidence on purpose, the  
20 chemicals, on purpose.

21 As an avenue -- I understand why you shake your  
22 head, no. But where is it anywhere that anybody in  
23 all these, and they're coming up in a lot of claims.  
24 They're changing the chemicals from TCE, PCE and  
25 benzene to pesticides, herbicides and none of it was

1 submitted.

2 **MR. PARTAIN:** And one of the recent denial letters  
3 was --

4 **MR. FONTELLA:** I mean, it is crazy. It's crazy.

5 **MR. STALLARD:** One at a time. One at a time.

6 **MR. PARTAIN:** In fact, one of the recent denial  
7 letters said that because you worked around benzene  
8 doesn't mean you were exposed. It's presumptive  
9 that you were exposed, and that was a recent denial.  
10 And, I mean, ignoring the fact that it was in the  
11 drinking water. You would think if you're drinking,  
12 you're exposed. But the denial letter said just  
13 because you were working there doesn't mean you were  
14 exposed.

15 **MR. FONTELLA:** And the CMP examiners, you know, when  
16 they say that there's no clinical studies that they  
17 could find, I mean, that opens the door for the RO  
18 to take a look at that and say, well --

19 **MR. FLOHR:** You have to understand the decisions  
20 that the RO makes based on the medical opinions that  
21 we receive and any other studies that are available.

22 **MR. PARTAIN:** Well, what professional --

23 **MR. FLOHR:** We can't, in other words, our decision  
24 makers can't use their own unsubstantiated medical  
25 opinions as the court has called it to make a

1 decision. Even if they're doctors they can't.  
2 That's a conflict of evidence.

3 **MR. FONTELLA:** Yeah, but what I'm saying is these  
4 clinical studies were submitted. Do you understand  
5 what I'm saying? These clinical studies were  
6 submitted with the claim like the renal cell  
7 carcinoma and the male breast cancer. I mean, I've  
8 looked them up myself and sent them. I'm the one  
9 sending them to these people.

10 **MR. FLOHR:** You know, Jim, Mike, you have your  
11 comments about various claims. I can't address  
12 those. I haven't seen the files.

13 **MR. PARTAIN:** I understand that, Brad, but the thing  
14 is also speaking in blanket terms, I mean, we can't  
15 look at it because there's no connection. Without  
16 getting specifics you're not going to get the  
17 answers you're looking for. We're giving you some  
18 specifics.

19 There's one right in front of you now where you  
20 have a specific claim. Granted you haven't seen it  
21 and you can look over, but it's clearly that, I  
22 mean, there's a nexus letter. The nexus letter was  
23 minimized in the claim.

24 Like there's a recent denial. In the denial it  
25 said, well, just because you're working around

1 benzene you weren't exposed. And here's another one  
2 here from Florida that I talked to. I don't know if  
3 the gentleman is still with us because at the time I  
4 talked to him six months ago, he was terminal  
5 diagnosed with kidney and bladder cancer.

6 He's a Camp Lejeune vet, also a Viet Nam vet.  
7 His bladder cancer dated back to 2000, I believe  
8 2000. And one of his appeals here, with regard to  
9 your letter dated May 11<sup>th</sup>, 2010, you state that I  
10 previously was denied on October 22<sup>nd</sup>, 2001, for my  
11 claim for bladder cancer.

12 At that time the claim was based on medically-  
13 accepted profile that this cancer is an  
14 environmental cancer and that I'd been exposed to a  
15 very toxic chemical to something with Agent Orange.  
16 At that time I was not aware of any exposed at Camp  
17 Lejeune. Well, basically the VA came back and said  
18 you're denied for your, Agent Orange is not  
19 connected.

20 Then he found out about Camp Lejeune,  
21 resubmitted the claim, it says, oh, you passed your  
22 appeal period. Sorry, have a nice day. Go die.  
23 And that's essentially what's happening here. He's  
24 been denied. Now, the last I talked to him he had  
25 gotten 30 percent, I believe, for the bladder

1 cancer, but he was subsequently diagnosed with  
2 kidney cancer five years later which is terminal.

3 Like I say I haven't been able to get a hold of  
4 him for the past couple weeks. I don't know if he's  
5 still here or not. But --

6 **MR. FLOHR:** Once again, gentlemen, you cannot  
7 possibly expect me to comment on these individual  
8 cases.

9 **MR. PARTAIN:** I know that, but these are trends.  
10 Like I said, these are newer cases.

11 **MR. FLOHR:** So if you want me to take a look at it,  
12 let me know, send me an e-mail. I can't comment on  
13 them.

14 **MR. FONTELLA:** No. Brad, I'm not even asking you to  
15 comment on the cases. Just so you know, I'm just  
16 trying to bring it to your attention. Maybe I  
17 haven't made myself clear about what we're faced  
18 with. This is what, these are the mistakes that the  
19 VA is making and what we have to deal with after the  
20 fact.

21 **MR. FLOHR:** And I can't even say they're mistakes  
22 because I have not had an option to look at them.

23 **MR. PARTAIN:** Okay, well, we're making you aware so  
24 you can. That's the whole point of this. We don't  
25 expect you to analyze someone's individual claim

1 here. It's not fair to you or the VA or anything  
2 else.

3 **MULTIPLE SPEAKERS:** (Unintelligible).

4 **MR. FONTELLA:** Maybe I'm not coming across the same  
5 way, but just to let you know what is happening to  
6 us. And we're the ones at the other end of it. You  
7 know, this guy goes to work every day at the RO, and  
8 he's just doing his job, and we're the ones who  
9 takes two more years and three more years and  
10 whatever it takes. We have to, like Tom has to go  
11 through the system now because he was denied. It  
12 goes on and on.

13 But I mean, these are things that should be,  
14 these guys should know their own laws. They should  
15 know what they're supposed to do. We shouldn't have  
16 to tell them. We shouldn't even be here right now.  
17 You know, this thing should have been done, over and  
18 done with years and year ago. This is a, it's an  
19 ordeal for everybody.

20 **MR. BYRON:** This is Jeff Byron again. Did I  
21 understand you, Brad, when you said that there is  
22 going to be a meeting of the ROs and like an  
23 educational process concerning Camp Lejeune or was I  
24 mistaken?

25 **MR. FLOHR:** For all the ROs?

1           **MR. BYRON:** So how do we resolve this and make sure  
2           that everybody's looking at apples and apples.

3           **MR. FLOHR:** Like I said, Jeff, all the claims will  
4           be consolidated to Louisville. I think I said that  
5           earlier.

6           **MR. TOWNSEND (by Telephone):** Well, the claims  
7           aren't all going to be collected at Louisville.

8           **MR. FLOHR:** Pending claims. An appeal is not a  
9           pending claim.

10          **MR. PARTAIN:** In the absence of ATSDR's work and  
11          what have you, what type of professional advice have  
12          you all relied on in the decision process? I mean,  
13          we're waiting for the water model. We're waiting  
14          for the health effects and everything, and there's  
15          stuff all over the world besides. So are you guys  
16          looking to any particular, how are you getting the  
17          information to make your decisions?

18          **MR. FLOHR:** Again, for the more than once already  
19          today, I told you what is required for a favorable  
20          decision. It's medical evidence, a link between  
21          exposure and the ground contamination. That's  
22          really the key. It's up to the adjudicator. If  
23          there is negative evidence to the claim, which might  
24          be a report or something, they have to evaluate that  
25          evidence and decide which is more credible, which is

1 more probative which is proves the claim and make a  
2 decision and write up why they made their decision.  
3 And they have to discuss all the evidence that's  
4 available.

5 **MR. ENSMINGER:** This VA task force that you've  
6 talked about and the report that they're issuing to  
7 the Secretary, I'm thinking that this whole task  
8 force investigations and their report would have to  
9 have been based upon the NRC report.

10 **MR. FLOHR:** Not at all.

11 **DR. WALTERS:** I can address that. The task force  
12 report has been going on. It predated my time  
13 coming to the VA. Looked at the NRC report or the  
14 National Research Council report and recognized  
15 pretty early on that it did not address BTEX. So  
16 the toxicologist who was on the report looked at  
17 daily search investigation, looked at the articles  
18 in the professional literature that talked about  
19 BTEX, and incorporated that in the task force  
20 report.

21 Now what's lately thrown the task force report  
22 into a bit of a problem is Dr. Portier's letter  
23 which again provided new information. So we thought  
24 we had the right information but again now we're  
25 still waiting for more information. So that has

1 necessarily delayed the task force report.

2 But part of what the VA's response has been in  
3 the interim while we're waiting to come to  
4 resolution on what is the actual evidence out there  
5 -- because we want to lean forward. We want to be  
6 veteran-centric -- is to recognize that there are  
7 variations in claims and so that's why VBA -- and I  
8 represent VHA, two different parts of the VA -- VBA  
9 has gone ahead and decided to consolidate the claims  
10 to make them more the same.

11 Now speaking as a clinician, when you have a  
12 patient come in to you, let's say with kidney  
13 cancer, you look at the whole patient. We say,  
14 okay, you were at Camp Lejeune. How long were you  
15 at Camp Lejeune? There is a significant difference  
16 between someone who, say, was there for a month  
17 versus an east coast Marine who was there for three  
18 or four tours and spent many years at Camp Lejeune.

19 There's also when you make your decision as to  
20 what is causing this cancer, you need to recognize  
21 that most cancers with the exception of some lung  
22 cancers and some other occupationally-exposed  
23 cancers, we really don't know the cause. Where we  
24 have lots of people who come up with kidney cancer  
25 or leukemias, and you don't know the cause.

1           Most cancers we do not know the cause. And if  
2 you'll remember, tobacco companies for years fought  
3 as tobacco smoke as a cause of lung cancer. And  
4 look at Diana Reeves. She never smoked in her life.  
5 She died of lung cancer. So, you know, just  
6 exposure to a chemical, whether it be tobacco,  
7 benzene, TCE does not necessarily mean that it is  
8 causative of a cancer.

9           You have to put the whole picture together. So  
10 if I have this patient who was at Camp Lejeune for a  
11 month drinking the water, has a 50-pack year smoking  
12 history, and has a family history of polycystic  
13 kidney disease, my clinical judgment may be that the  
14 family history and the tobacco smoking were the  
15 causes, or the most likely causes, which I can't  
16 prove, of his kidney cancer. Let me finish.

17           Whereas if this east coast Marine, no history  
18 of smoking, only history is the exposure to Camp  
19 Lejeune water of a long duration, my clinical  
20 judgment is going to go much more towards the water  
21 at Camp Lejeune being somehow involved in causing  
22 his kidney cancer. So every case is different and  
23 one-time exposure or a short exposure is less likely  
24 to be a factor in a disease than a long term.

25           So if someone says I only smoked a year and

1           they come up with lung cancer, they're either very,  
2           very unfortunate or there may be some other cause  
3           like they were exposed to asbestos. So this is not  
4           a one-time hit of BTEX leads all way to a cancer.  
5           So there is many, many factors that play into a  
6           medical judgment. It isn't just exposure, cancer.  
7           There has to be a medical nexus. And I think that's  
8           what you're running into with physicians saying I  
9           don't see, I can't think that there's a medical  
10          nexus.

11         **MR. ENSMINGER:** In the case of Camp Lejeune you've  
12         got people that are subjected to scrutiny of, well,  
13         how long were you there? You know, were you there  
14         for one month, one week, one year, two years, three  
15         years, multiple tours? But yet with Agent Orange  
16         all you have to do is prove that you stepped foot in  
17         country, one day.

18         **DR. WALTERS:** Yeah, and I know that.

19         **MR. ENSMINGER:** We already know that the  
20         contaminants at Camp Lejeune were in the water.

21         **DR. WALTERS:** Let me explain the Agent Orange. For  
22         years the DOD and VA tried to figure out exposure  
23         models. And because there were poor recordkeeping  
24         on the part of DOD, and in an effort to be  
25         absolutely fair to all veterans, they basically said

1 if you're in the country for one day, yes, indeed  
2 you were exposed to Agent Orange. That has had a  
3 number of effects. So if you're a veteran who were  
4 cleaning out the barrels of Agent Orange, and you  
5 come down with chloracne, which is absolutely  
6 causative, and some of the other nasty things that  
7 are causative, being caused by Agent Orange, and  
8 you're 100 percent disabled, and your buddy over  
9 here, he visited -- real case -- visited the  
10 airport, is now getting a \$600,000 check because he  
11 visited the airport once and now has ischemic heart  
12 disease because he smoked and drank and never missed  
13 a cheeseburger, would you feel somewhat cheated?

14 **MR. ENSMINGER:** Yeah.

15 **DR. WALTERS:** Absolutely.

16 **MR. ENSMINGER:** But I mean, that's what's going on  
17 with Agent Orange.

18 **DR. WALTERS:** Yes, indeed and --

19 **MR. ENSMINGER:** I mean, how did that get to that  
20 point?

21 **DR. WALTERS:** Because of poor recordkeeping.

22 **MR. FLOHR:** To get to the point because there was so  
23 much, as Dr. Walters said, there was so much  
24 controversy and disagreement between competing  
25 scientists and DOD and VA that Congress stepped in

1 at some point in 1991, they passed the Agent Orange  
2 Act and said, look, because of all the controversy  
3 and because we don't know, because we have no exact  
4 records of where people in Viet Nam were at the time  
5 of the use of Agent Orange --

6 **DR. WALTERS:** And most people were there for a year.

7 **MR. FLOHR:** -- anyone who was there was presumed to  
8 have been exposed.

9 **DR. WALTERS:** And most people, the majority of  
10 people exposed were there for a year. The guy in  
11 the airport is an exception. And most laws are made  
12 for the majority of people, not the exceptions. So  
13 that's the reason for the Agent Orange issue.

14 **MR. TOWNSEND (by Telephone):** Mr. Townsend here.

15 **MR. STALLARD:** Yeah, go ahead, Tom.

16 **MR. TOWNSEND (by Telephone):** I looked at, I was  
17 interested in the lady from the VA speaking about  
18 talking about the family history that goes along  
19 with this. I have lost a son that died at Camp  
20 Lejeune, and I have lost a wife whose death was  
21 attributed to the --

22 **MR. STALLARD:** Tom, I think we missed you. We just  
23 lost you. We cannot hear you.

24 Okay, folks, we're getting a little, just  
25 agenda-wise let's check in --

1           **MR. TOWNSEND (by Telephone):** Now, well, I guess I'm  
2           at home. I'll call my state VA director and see  
3           what he knows about this because I've been sitting  
4           in the system for three or four years. I've waited  
5           for the Board of Veterans Appeals, and all this  
6           stuff is new. Everything, when I started it was  
7           very, there was only a couple of components, now  
8           it's BTEX and about ten other things. I keep up  
9           with the VA. I send them stuff. I go to their  
10          physicians, and it just seems to be all screwed up.  
11          End of statement.

12          **MR. FLOHR:** Let me just say that I appreciate your  
13          concerns. I appreciate your bringing these examples  
14          of these cases that you have. I understand what  
15          you're feeling and what is going on. If I didn't, I  
16          wouldn't be here. I would not come here --

17          **MR. TOWNSEND (by Telephone):** I sent a whole history  
18          of myself to you. I sent you my VA number, and you  
19          were supposed to get back to me.

20          **MR. FLOHR:** Tom, what was your last name?

21          **MR. TOWNSEND (by Telephone):** Townsend, T-O-W-N-S-E-  
22          N-D, Thomas A.

23          **MR. FLOHR:** All right. Let me check when I get  
24          back.

25          **MR. TOWNSEND (by Telephone):** Thank you.

1           **MR. FLOHR:** But hopefully going forward we'll be  
2           able to do a better job, like I say, of tracking  
3           these cases and making decisions on them, of the  
4           claims. They won't be decisions that have been made  
5           and are final. It's going to be, what's going to  
6           Louisville are claims that are currently pending or  
7           have not yet gotten to the Board that are in some  
8           appellate status. So we'll work from there.

9           **MR. PARTAIN:** Hey, Brad, I have a question for you.  
10          The veterans who are treating with the VA system now  
11          and have had this come up a couple times for other  
12          problems and they have cancer or something that's  
13          tied back to Camp Lejeune and the VA doctors treat  
14          it, VA medical doctors treat it, and they've asked  
15          the VA doctor for a nexus letter, are the doctors  
16          permitted to write a veteran a nexus letter for the  
17          VA, a VA doctor?

18          **DR. WALTERS:** Yeah, they do all the time.

19          **MR. FLOHR:** Absolutely.

20          **MR. PARTAIN:** Because I've gotten feedback to where  
21          they've been told, no, we won't do it.

22          **MR. FONTELLA:** Jim Fontella. I've looked through a  
23          lot of VA past VA claims on appeal, and I have seen  
24          many, I have seen many nexus medical opinions that  
25          were done by VA doctors. But I also know of one

1 personally, that was myself, who my doctor said that  
2 he would lose his job. He was interested in keeping  
3 his job.

4 And I just think he kind of punked out really.  
5 I mean, that's what happens to a lot even with  
6 civilian doctors. I think that they just do that.  
7 They just don't want to get involved with something  
8 like that and put their name on something because  
9 they have no clue.

10 **MR. FLOHR:** Well, you know, in the past at least I  
11 know that VA physicians, treating physicians, were  
12 discouraged from providing a medical opinion unless  
13 it was asked for by the regional office. One of the  
14 reasons being that the physicians would be concerned  
15 that they might get sued if they wrote an opinion  
16 and it was negative because that's possible.

17 **DR. WALTERS:** And there's always a tension between,  
18 and that's why CMP examiners are not treating  
19 examiners. There's always a tension between someone  
20 who treats the patient and someone who is involved  
21 in evaluation for a financial claim.

22 Sometimes there's a conflict of interest there,  
23 and as a treating clinician, you have to be totally  
24 focused on your patient. So there is a professional  
25 tension there, and that's why the VA does separate

1 out CMP versus treating physicians. But there is no  
2 prohibition (sic) from treating physicians writing  
3 a letter saying this is related to this exposure.

4 **MR. STALLARD:** Yes, Dr. Clapp.

5 **DR. CLAPP:** A brief addendum to Brad for your  
6 training. I spoke with Dr. Kate Guyton at the EPA  
7 about their designation of TCE as a carcinogen, and  
8 she said that she thought it would be posted this  
9 month. But Jerry mentioned this earlier that that's  
10 coming any minute now. So please keep your ears  
11 open to that.

12 **MR. FLOHR:** That will be actually more useful for  
13 Dr. Walters and the physicians.

14 **DR. CLAPP:** So it's different from what the National  
15 Research Council report said; it's taking it a step  
16 forward.

17 **MR. FLOHR:** Well, again, before we break for lunch I  
18 just want to say I've got an early flight so I'm  
19 going to leave after lunch here, but I will take  
20 back what I've heard, and I will follow up with you  
21 on anything you want me to follow up on.

22 Yes?

23 **DR. KAPIL:** Can I just say one thing before we break  
24 for lunch?

25 **MR. STALLARD:** Yes, please do.

1           **DR. KAPIL:** I've just been listening to this  
2 conversation, and I wanted to just weigh in as an  
3 individual. Like Dr. Walters who has had many, many  
4 years of experience doing occupational environmental  
5 medicine in clinical settings, before I came to CDC.  
6 And I pretty much fully agree with her comments on  
7 the challenges of evaluating these types of cases.

8           So I wanted to just reiterate that it is really  
9 extraordinarily difficult for physicians, even those  
10 who are specialists in occupational environmental  
11 health who do this day in, day out to evaluate these  
12 types of cases under these types of circumstances.

13           It's not unusual for us to have to deal in  
14 circumstances in which there are knowledge gaps,  
15 significant knowledge gaps, and there are  
16 difficulties with the availability of easy answers.  
17 I mean, in fact, it's probably more of a rule rather  
18 than the exception --

19           **DR. WALTERS:** Medicine is a probability game.

20           **DR. KAPIL:** -- so having said that it therefore  
21 becomes extremely, extremely critically important  
22 who is doing those evaluations and their training,  
23 their expertise, their judgment. All of those kinds  
24 of things come into play. So both sides it's a  
25 challenge.

1           Whether that evaluation is being done on behalf  
2 of the patient by their physician, it's an  
3 unfortunate reality that in this country the vast  
4 majority of clinicians that are in practice really  
5 know very little about environmental health or  
6 occupational health. So sometimes they find  
7 themselves struggling when they encounter patients  
8 with these types of histories.

9           And similarly on the other side of the coin the  
10 situation is also true. Physicians who are doing  
11 these examinations on behalf of employers, for  
12 example, also often lack the expertise and training  
13 and experience to be making these decisions which  
14 sometimes are really very, very subtle kinds of  
15 differences between individual patients.

16           So I just wanted to put that issue on the table  
17 that what we're struggling with here, and what I'm  
18 sure that these folks struggle with every day, we  
19 all struggle with, is how you make good, sound,  
20 evidence and science-based decisions when you have  
21 all these challenges. So I just wanted to make that  
22 comment.

23           **MR. STALLARD:** Thank you.

24           **MR. BYRON:** Real quick, this is Jeff Byron. If you  
25 think it's difficult through the VA to get a nexus

1 letter, try to get it through the civilian world  
2 with children that are suffering from issues.

3 **MR. FONTELLA:** And I believe it's also important  
4 that the VA more likely or as likely as not the  
5 weight of the evidence, the 50 percent. One thing,  
6 too, with TCE, I mean, I know we talked about this  
7 before, but Camp Lejeune -- if I recall I think Dr.  
8 Clapp was the one who may have said this, or Frank -  
9 - is the worst documented TCE-PCE contamination in a  
10 public drinking water system that we know of.

11 **DR. BOVE:** TCE, yes.

12 **MR. FONTELLA:** TCE.

13 **DR. BOVE:** TCE not PCE.

14 **MR. FONTELLA:** Not PCE but TCE. And the science is  
15 not quite out there looking at long-term exposures  
16 or even just chronic exposures because even if  
17 you're there a month, you're drinking this seven  
18 days a week the entire time you're there, and you're  
19 exposed to it constantly.

20 Now with the EPA coming out stating that this  
21 is going to be a known human carcinogen, I mean,  
22 like you said, it's incredibly bad luck, but I mean,  
23 how many times do you have to flip the coin drinking  
24 water every day, 24 hours a day, seven days a week  
25 that you do your chance comes up.

1           **DR. WALTERS:** Well, what people do, what studies do  
2           is they use occupationally exposed workers, such as  
3           dry cleaners who were exposed to this stuff a lot,  
4           and see at what point, how long is the average time  
5           before they develop cancers or adverse health  
6           effects. Same with benzene. Same with any toxic  
7           chemical.

8                         So we assume that those who work and are  
9           occupationally, not epidemiologically exposed, get  
10          higher doses. So the classic one is the tire  
11          workers who work putting tires together, they're  
12          exposed to benzene a great deal, and that's where a  
13          lot of literature will come from. But translating  
14          that occupational exposure to epidemiological  
15          exposure is very difficult because you're sometimes  
16          comparing apples to oranges.

17          **MR. ENSMINGER:** I understand that the information  
18          from the studies in China on benzene --

19          **DR. WALTERS:** The Harvard?

20          **MR. ENSMINGER:** -- are in, and I understand they're  
21          terrifying. But by the same token when you talk  
22          about occupational exposures and adult exposures,  
23          look at the kids that were carried in utero at Camp  
24          Lejeune. ATSDR automatically eliminated the people  
25          that lived at the air station. They eliminated

1 people that didn't live on the base.

2 However, every mother prior to the new hospital  
3 being opened, we don't know what the water, how  
4 often they were opening and closing those inter-tie  
5 valves yet. But how many exposures to say 2500  
6 parts per billion of benzene or 1,400 parts per  
7 billion of TCE that were in the Hadnot Point system,  
8 because every mother had to go to the Naval  
9 hospital.

10 How many slugs of that crap did it take to  
11 affect a fetus? One? I mean, every one of those  
12 kids whether they lived on base or not was exposed  
13 when their parents, and all the main services at  
14 Camp Lejeune were provided at Hadnot Point. The  
15 hospital was on Hadnot Point water, the old  
16 hospital.

17 If you wanted to go to the main exchange, you  
18 went to Hadnot Point. If you wanted legal services,  
19 you went to Hadnot Point. If you wanted to use  
20 special services, you went to Hadnot Point. These  
21 dependent kids were all exposed, every one of them,  
22 if they were carried in utero in the womb. If you  
23 wanted to go bowling, you had to go to Hadnot Point,  
24 everything.

25 **MR. BYRON:** Not to mention that if you were living

1 in base housing, I think that the comparison,  
2 occupational exposures compared to ingestion,  
3 there's no comparison unless you have information  
4 that would tell me differently. Because I've worked  
5 in front of these chemicals in the aerospace  
6 industry, okay? I know what precautions had to be  
7 taken --

8 **DR. WALTERS:** All I'm saying is that's where the  
9 information comes from.

10 **MR. BYRON:** Yes, yes, I understand that, but  
11 occupational exposure usually is going to be in the  
12 form of vapor or it's going to be your hands are in  
13 it, but you're not going to be drinking it as an  
14 employee. We were drinking it. Our children drank  
15 it. Our wives who were pregnant drank it.

16 Like Jerry says, if you went on base there's a  
17 real good possibility if you drank at the water  
18 fountain, you're in your first trimester, you were  
19 exposed. I mean, the whole base is listed in this  
20 study. There's not an area that's not and that's  
21 the real sadness of it, I think.

22 **MR. ENSMINGER:** Well, look at ATSDR's public health  
23 assessment, their exposure data for that assessment  
24 which, thank God, has been pulled. They had us  
25 using two liters of water in a day. My god, I

1           couldn't wash my feet with two liters of water each  
2           day.

3           But I mean, nobody took under consideration the  
4           fact that you got up in the morning. You PT'd. If  
5           you went back to the barracks if you didn't take a  
6           shower after PT you were a crud. Somebody's going  
7           to end up giving you a GI shower. You took a  
8           shower. You went to work. Whatever your job was  
9           you worked around this crap all day, in the water,  
10          you were drinking water. It was, if you were on  
11          squad tactics in the regimental area in the rear or  
12          whether you were out in the field. You were still  
13          drinking a lot of water. I mean, that place is a  
14          hundred degrees down there. And the exposures you  
15          got during the day if you had your work whether it  
16          was in a shop or an office or whatever. Look at the  
17          cooks. They worked in a gas chamber.

18          **MR. BYRON:** This is Jeff again. Then the other  
19          thing that concerns me is confounders. You brought  
20          it up with veterans. Well, as a father and as a  
21          parent, yeah, I smoke. Where does the confounder  
22          end when it's my children who are sick and not me?  
23          I know I'm asking hypotheticals, but to me, this  
24          confounder thing, sure, it plays a big part if you  
25          have lung cancer, and you're the smoker. But if

1           your kid comes down with a cancer, they're not the  
2           smoker. But yet all these studies will, all those  
3           confounders to a degree --

4           **DR. WALTERS:** There's confounders in every study.

5           **MR. BYRON:** -- to everything. I agree. I just want  
6           to bring that up. My children didn't smoke and  
7           drink.

8           **MR. ENSMINGER:** Not to mention that the government  
9           provided you cigarettes in your C rations.

10          **MR. STALLARD:** We're not providing lunch though, but  
11          we're getting ready to break for it. So wrapping up  
12          and breaking for lunch here in just a moment --

13                 Tom, are you ready to break for lunch because  
14          we are anyway.

15                 I'd like to thank Brad and Dr. Walters. It's  
16          real important that you all are here and it really  
17          makes a big difference in the CAP, and so we greatly  
18          appreciate your participation.

19                 So I do have a short announcement. Frank and I  
20          have been talking about the fact that there's not  
21          really a lot of published literature on the  
22          operation and design of a CAP, and we're interested  
23          in exploring sort of that idea of maybe writing an  
24          article on how this CAP operates, its structure and  
25          stuff like that. So if you'd like to join in,

1 participate in this discussion with us over lunch,  
2 that'd be great.

3 We're breaking now and we'll resume our video  
4 streaming at one o'clock.

5 (Whereupon, the meeting adjourned for lunch from  
6 noon till 1:05 p.m.)

7 **MR. STALLARD:** We got a little bit off the agenda  
8 this morning, but I think it was a good use of time  
9 with our VA representatives. So we're going to pick  
10 up now and we're going to move into Frank and Perri  
11 giving us an update on the studies, the mortality  
12 and health survey and any other studies.

13 Ready for that?

14 **UPDATES ON STUDIES: MORTALITY STUDY, HEALTH SURVEY**

15 **MS. RUCKART:** Well, I just want to start off with  
16 the mortality study just to let you know the  
17 progress since our last meeting. The contractor,  
18 Westat, is continuing to work with the Social  
19 Security Administration to identify the vital status  
20 of the Marines and civilian employees in the DMDC  
21 database.

22 Results of the search we categorized into four  
23 categories. There's a match between the two  
24 databases, the subject is alive. There's a match,  
25 the person is deceased. There's a match and a

1 status unknown whether they're alive or deceased and  
2 there's no match.

3 So an initial review of the results showed an  
4 unexpected large number of those in the DMDC  
5 database with unknown status in the Social Security  
6 Administration database, about 60,000. So that's a  
7 lot larger than what we would expect. They would  
8 expect just a couple thousand. So that's  
9 significantly larger.

10 And in addition an unusually high number whose  
11 status is unknown had social security numbers that  
12 were issued in Texas. So that's kind of an odd  
13 finding.

14 So why we're concerned about the high number of  
15 subjects whose vital status is unknown is that in  
16 addition to those who we know are deceased that  
17 we're going to send to the NDI to obtain their cause  
18 of death, the contractor is planning to send all  
19 those with unknown status to the NDI. Not to  
20 mention we thought that would be a couple thousand.

21 There's a cost involved here. So since there's  
22 60-some thousand that greatly increases the cost.  
23 It's very, very expensive to do that. So to reduce  
24 the number whose vital status is unknown, the  
25 contractor is going to send a sample of the unknowns

1 to a locator firm to see if their vital status can  
2 be determined.

3 That would be one of these firms that does  
4 tracing of people and see if they can find some sort  
5 of record that they've paid some tax recently or  
6 they're in some kind of payroll data. Something or  
7 not to prove if they're alive or dead just to get  
8 them out of that unknown category to definitively  
9 say they're alive or dead.

10 Also, the Social Security Administration agreed  
11 to review a sample of the unknowns to see if more  
12 information can be found. And I just found out  
13 yesterday they did that, but I don't think they've  
14 got any more information. So we really are relying  
15 on the results of the locator firm to help with  
16 that.

17 And the contractor's also going to explore  
18 getting the next-of-kin information on those who are  
19 deceased from the locator firm that this will be  
20 most useful for the health survey.

21 Do you have anything?

22 **DR. BOVE:** Well, a couple things, the 60,000 is  
23 because, actually they have, if they look at one of  
24 the Social Security Administration databases, these  
25 people apparently are dead from one database. But

1 if they try an exact match on the entire social  
2 security number, the person's full name and date,  
3 that's when they start having problems.

4 If they allow for some errors in the name  
5 spelling, I think that that itself will whittle down  
6 the 60,000. So saying that 60,000 are unknown is a  
7 first cut. I expect it to come down on the second  
8 cut. I also expect it to come down with this  
9 locator's firm search.

10 Although that's important to do, we're still  
11 relying on the Social Security Administration's  
12 databases because that is the, first of all, the  
13 appropriate way to go. It's what mortality studies  
14 do. And it's likely that we'll be able to solve the  
15 problem. But going to a locator firm as well is  
16 good because that'll confirm what we think is that  
17 there are just these slight problems with the  
18 spelling of the names and we'll be able to clear up  
19 most of these unknowns that way.

20 Also, we have to go to a locator firm anyway  
21 for the survey. So I guess it's a segue. But there  
22 was a question about, that Mike raised earlier that  
23 I want to discuss maybe after we go through the  
24 survey.

25 **MS. RUCKART:** So as for the health survey we did

1 receive OMB approval on November 22<sup>nd</sup> so that's a  
2 milestone there, and we're working with the  
3 contractor to finalize all of the materials for the  
4 mailings, just final formatting and we're going to  
5 be working with that.

6 We're working with the Marine Corps to be able  
7 to use a Marine Corps watermark logo on the survey  
8 mailing envelope to encourage participants to  
9 actually open the envelope instead of throwing it  
10 out as junk mail. We've also set December 15<sup>th</sup> as  
11 the deadline, they're aware of this, in terms of can  
12 we use one, which one can we use and getting that  
13 determined.

14 **MR. PARTAIN:** Just to interject here on your comment  
15 about the throwing out. One of my concerns, and I  
16 brought this up kind of earlier, this informational  
17 booklet is being distributed to the registrants on  
18 the Marine Corps' registration for Camp Lejeune. In  
19 it here's a quote out of there.

20 (Reading) The 2009 NRC report concluded that  
21 adverse effects were unlikely but could not be ruled  
22 out completely and additional health studies are  
23 unlikely to provide more definitive results. This  
24 is going out to everybody on the Marine Corps'  
25 registry.

1           Now let me ask you, with epidemiological  
2           studies, if your study group is getting literature  
3           saying, well, there's no conclusive proof. Any  
4           further study is going to be inconclusive or  
5           unlikely to produce results. Why would they want to  
6           participate in your health study?

7           **MS. RUCKART:** Well, I mean, we can't control what  
8           has happened in the past or change that.

9           **MR. PARTAIN:** No, this is ongoing and my point is  
10          with this I think, and going back to Dr. Portier's  
11          letter of October of this year, the Marine Corps has  
12          access to these people, and the purpose of the  
13          registry is to keep people informed and also provide  
14          a database for you guys to do your work.

15          I think there should be a request from ATSDR in  
16          writing to the Marine Corps to disseminate Dr.  
17          Portier's letter to every member on registration  
18          because his letter contradicts this booklet.

19          And the Marine Corps, Captain Miller back  
20          there, Mary Ann, y'all need to stop distributing  
21          this. This was addressed in the hearing.

22          **MS. RUCKART:** Well, let me tell you, I mean, as you  
23          know the Marine Corps is committed to exploring if  
24          they're going to sign a pre-notice and the survey  
25          invitation letter so hopefully that would allay some

1 of your concerns because that would be a formal  
2 statement of them saying we do want you to  
3 participate in this survey.

4 **MR. PARTAIN:** Well, once again the literature that's  
5 going out and saying science is not going to give  
6 you an answer. So I'm sitting here, Joe Marine,  
7 with my family. I get a health survey after getting  
8 this nice little booklet the Marine Corps all over  
9 it from Headquarters Marine Corps saying that  
10 science is basically going to be useless. Why would  
11 I want to take the time to fill out the survey?  
12 It's a de-motivator for the survey.

13 And as a CAP member I think we should move to  
14 ask ATSDR to send an official letter to the Marine  
15 Corps to disseminate Dr. Portier's letter. And if  
16 they choose not to do it, then we'll take it up in  
17 Congress.

18 **DR. BOVE:** I do think that's a good idea.

19 **MS. RUCKART:** And so we're also working with the  
20 Marine Corps to decide how we're going to reference  
21 the survey in all the mailings, the URL for the  
22 website and the caller ID, for example, the ATSDR-  
23 USMC Health Survey. That would be what the official  
24 title is. That would show up on the caller ID and  
25 guess hopefully in making reminder phone calls and

1 the URL.

2 As mentioned we're waiting to get the signed  
3 pre-notice of the survey and the invitation letters  
4 from the Marine Corps. They have expressed, the  
5 Marine Corps has expressed, some interest in  
6 possibly wanting their leadership to also sign the  
7 thank you and reminder postcard and the second  
8 survey mailing letter again. All of this will be  
9 fully fleshed out by December 15<sup>th</sup>.

10 We're planning to mail out the surveys starting  
11 in March 2011. The mailings will occur in waves  
12 from March through July so that responses can be  
13 more easily managed by the contractor. If they sent  
14 out 300,000, it would be very hard for them to track  
15 and make sure they weren't sending out a second  
16 survey before they were able to process that a first  
17 one had been received. So they're going to occur in  
18 waves so they can properly manage that.

19 We're working with a contractor to set up the  
20 first expert panel meeting that's scheduled for  
21 January 10<sup>th</sup>. Just to remind everybody, the expert  
22 panel will develop criteria for evaluating the  
23 quality and validity of the survey information  
24 including criteria to address participation rate,  
25 statistical power. And they will later on meet to

1 evaluate if the survey has successfully met these  
2 criteria and make recommendations to the Agency  
3 concerning whether to proceed with confirming the  
4 self-reported diseases.

5 **MR. ENSMINGER:** Who's on this expert panel? Where  
6 are they meeting?

7 **MS. RUCKART:** Well, the meeting's going to be here  
8 in Atlanta, in our building, January 10<sup>th</sup>. The Navy  
9 and Marine Corps nominated Doug Myers from Duke.  
10 He's a DOD representative. Tom Mangione was  
11 recommended by Dick Clapp so I believe he is your  
12 representative.

13 And then Westat put forth two panel members to  
14 us that are very acceptable to us, Jolene Smyth.  
15 She actually worked under Dillman, who -- and that's  
16 the method that we're using for sending out the  
17 surveys for the repeat mailing. And Elizabeth  
18 Delzell , she is an epidemiologist who worked with  
19 us previously in our 2008 panel to talk about the  
20 health survey and mortality studies. She's at UAB.

21 **MR. ENSMINGER:** I'd like to get their name.

22 **MS. RUCKART:** Elizabeth Delzell, Jolene Smyth and  
23 Doug Myers and Tom Mangione.

24 **MR. ENSMINGER:** I'd like to be at that meeting.

25 **DR. BOVE:** We'd have to discuss that with, yeah.

1           The purpose of the meeting is to come up with  
2           criteria for what might be considered a successful  
3           survey. I think the idea was that somewhere out  
4           there there were hard and fast criteria for when the  
5           survey participation rate was acceptable or a  
6           certain statistical power was acceptable or  
7           whatever. And so the idea was to have this expert  
8           panel come up with whatever criteria that we would  
9           then apply as the results came in as we saw how the  
10          participation was occurring.

11                 So it's an expert panel. You have a  
12          representative. We'll have to see. This was a  
13          panel that was recommended by --

14          **MR. STALLARD:** Hey, Tom, can you put your phone on  
15          mute, please?

16          **DR. BOVE:** -- well, to make a long story short,  
17          we'll bring it up.

18          **MS. RUCKART:** One thing I do want to mention though  
19          is that Ray is going to be there. He's going to  
20          produce summary minutes, not as detailed as we have  
21          today, but summary, detail but not to this level of  
22          who exactly said what verbatim, but summary minutes  
23          of what was said. And so definitely those can be  
24          shared, but we can bring this other issue back to  
25          our management and discuss that.

1           Also as a reminder then once the panel gives us  
2 their recommendations, the Agency will consider  
3 those as well as the results of the survey and by  
4 results of the survey I mean the participation rate,  
5 the power calculations, issues of selection bias and  
6 make the decision about obtaining medical records to  
7 confirm the self-reported diseases. And if we do  
8 decide to move forward with the medical records  
9 confirmation, that will only be sought for those  
10 survey participants who were included in the  
11 morbidity study. So just to remind you that the  
12 overall effort is the health survey. We're sending  
13 out the health surveys to those in the DMDC database  
14 who were identified as being on base from 1975 and  
15 slightly earlier than that for disability --

16 **MR. STALLARD:** Can you hold on?

17           Hey Tom, can you hear those of us in the room  
18 speaking?

19 (no response)

20 **MR. STALLARD:** Tom. Thank you for putting your  
21 phone on mute. Thank you.

22 **MS. BRIDGES (by Telephone):** I was just trying to  
23 call him but he doesn't answer.

24 **MR. ENSMINGER:** No, he's on the phone.

25 **MS. BRIDGES (by Telephone):** Well, I have his cell

1 phone number but it won't answer either.

2 **MR. STALLARD:** Well, thanks for trying.

3 **MS. RUCKART:** So just reminding everybody that the  
4 health survey kind of has these two parts. The  
5 larger effort is the health survey that will be  
6 mailed to everyone who registered with the Marine  
7 Corps as well as those who we've identified from the  
8 DMDC database as having been stationed or employed  
9 at Lejeune from '75 for the active duty, about '72  
10 for the civilian employees. We're also mailing  
11 surveys out to those from our 1999-to-2002 ATSDR  
12 survey.

13 But as far as the morbidity study that's where  
14 we're going with the unbiased sample so that would  
15 be the DMDC database cohort and the 1999-2002 ATSDR  
16 survey cohort. So if we do decide to confirm the  
17 self-reported diseases we'll be focusing on those  
18 groups only.

19 So the registrants only people who are  
20 identified solely because they registered with the  
21 Marine Corps will not receive the medical records  
22 confirmation, and they're only going to get a pre-  
23 notice letter and one mailing of the survey.  
24 They're not going to get any of the full Dillman  
25 method of the repeated mailings because they're a

1 potential bias sample.

2 We still have a lot of people, a lot of  
3 numbers, a lot of power to work with in just the  
4 DMDC database and the previous ATSDR telephone  
5 survey.

6 **MS. SIMMONS:** This is Mary Ann. I have a question.  
7 Were you guys planning on how you're going to  
8 distribute the survey through March, through July?  
9 Is that what you said? Do you ever worry about  
10 people who like say Jeff got his survey in March and  
11 then say I was on the list and I didn't get mine  
12 until like months later, people calling and being  
13 upset like where's my survey?

14 **MS. RUCKART:** You know that's a possibility.

15 **MS. SIMMONS:** I would think that once you got, I  
16 mean aren't you sending out two pre-notices? Is  
17 that right?

18 **MS. RUCKART:** No, there's one pre-notice letter, but  
19 let's say if Jeff was going to get his in March. He  
20 would get his pre-notice letter in March. His clock  
21 would start ticking, and then everything would  
22 happen from the date he has his pre-notice letter.  
23 If you were scheduled to get yours in May, you would  
24 get your pre-notice letter in May. You wouldn't get  
25 it in March.

1           **MS. SIMMONS:** So you're not sending out the whole --

2           **MS. RUCKART:** Yeah, in waves --

3           **DR. BOVE:** It's because it's so large that the  
4 contractor just felt it would be more efficient and  
5 they could handle it better if they did it in waves.

6           **MS. SIMMONS:** Well, they just put it all in a box.  
7 They don't have to look at it all at once.

8           **MS. RUCKART:** Well, no, because they would have to  
9 process it to determine do you need the second  
10 survey. So they don't want to be sending you out a  
11 second survey if you've already completed the first  
12 survey, and they need to go through the whole  
13 process to check it and put it into their system.

14                   So in terms of your question that is true, but  
15 if you know each other, and you're in the third wave  
16 and you're in the first wave, you may let her know,  
17 hey, I got mine. You should be getting yours. Then  
18 two months go by and you haven't gotten yours,  
19 that's a possibility.

20                   The health survey will have its own special  
21 help line that Westat will be staffing and they'll  
22 be able to address that, let you know,  
23 unfortunately, we have to do waves because of the  
24 large number. And they could probably tell you if  
25 you're on the list to receive one. So we will be

1           able to address that.

2           **MR. BYRON:** So this is Jeff. I guess you know for  
3 members of the CAP we have a website. We can list  
4 the, you know, it's going to be sent out in groups,  
5 but what we need to know is if you haven't received  
6 one by this date then it hasn't been sent to you,  
7 and you need to contact someone right away. I mean,  
8 that's important.

9           I think the Marine Corps should also put on its  
10 website that it's going to come out. The survey  
11 groups and ATSDR also so as long as they're looking  
12 at one of the three websites, hopefully they'll  
13 understand that, okay, Jeff might have got his but  
14 mine will be here in July or by July. And if it's  
15 not, then I know I need to call someone.

16           **MR. PARTAIN:** Let me clarify, Jeff. What the Marine  
17 Corps does put on the website preferably would not  
18 be in the lower, right-hand corner at the very  
19 bottom. On the front page of the website so people  
20 can see it.

21           **MS. SIMMONS:** When you send these out in waves, are  
22 you doing it like alphabetically, like A-B-C go?

23           **MS. RUCKART:** I'm not sure how they've determined  
24 their waves. We can ask but I'm sure it probably  
25 was random.

1           **DR. BOVE:** Likely not the alphabet. Likely some  
2           kind of random process. They haven't discussed that  
3           with us and there's still some details that we need  
4           to work out with them. Remember, this is a long  
5           process. You get this pre-notice letter. Then you  
6           get the mailing. You don't respond, you get a  
7           postcard.

8                     Even if you do respond you get a postcard  
9           anyway, a reminder or a thank you. And then if you  
10          haven't responded you get a second mailing, you get  
11          another postcard, and then there's the telephone  
12          reminder. So there's several sequences that take  
13          probably almost six weeks, three months.

14          **MS. RUCKART:** About ten weeks.

15          **DR. BOVE:** So that whole wave and then the second  
16          wave the same thing, six-to-ten full week process.  
17          So that's the Dillman method of repeated contacts to  
18          get you to participate.

19                     So is that all clear to you though about the  
20          difference between -- because I think we've been  
21          over this before, but I want to make sure that it's  
22          crystal clear -- that we have those that we  
23          identified a priori, beforehand, of the DMDC data  
24          and from our survey. So that is the study  
25          population. That's the population that we're going

1 to use the Dillman method with. If we decide to  
2 continue with the study, those are the people we'll  
3 confirm the diagnosis.

4 Then there's another group over here that, as  
5 Perri said, would just come to us from the  
6 registration. Remember, how did people get  
7 registered in the first place or even know about the  
8 registry? A lot of those people were contacted  
9 using DMDC data and our survey so some of the same  
10 people are in both. So that's fine. As long as  
11 they're in here, as long as they're in this study  
12 group, they get the full treatment.

13 But those people who just heard from media or  
14 some other pathway, that we can't handle because it  
15 gets into biased samples. So when we send them a  
16 survey, we're going to have to keep them separate  
17 from the study population just to maintain the  
18 validity of the study. So that's the situation.

19 We also want our contractor to put all their  
20 effort on these people because this is the valid  
21 study.

22 **MR. PARTAIN:** Frank, when you're talking about the  
23 ones from DMDC, we'd mentioned before that the in  
24 utero population was going to be included in the  
25 health survey, correct?

1           **DR. BOVE:** The 1999-2002 survey, and it segues into  
2 your point earlier so let's go over what's in that  
3 survey. In that survey there's some 12,500 births  
4 plus 12,500 parents. So multiplied by three you  
5 have something like 39,000. So that's what this  
6 database has. That's part of the study population.  
7 So the parents of the child are part of the study  
8 population.

9           What we collected during the survey was we  
10 collected a name, date of birth. We asked the  
11 parents, to some extent we've looked at women who  
12 lived on base and how long they were on base, but  
13 that data is not great. We also asked if the child  
14 had a birth defect, of course that was the whole  
15 purpose of the survey, had a birth defect or  
16 childhood cancer, a cancer diagnosed before the age  
17 of 20. And then we ask if the child has died.

18           So if the child was born sometime before '86  
19 and we asked in 1999-2002 if the child was still  
20 living. At the time the survey was done, which  
21 again was 1999-2002, 332 children had died. And I  
22 looked up during one of the breaks just to get a  
23 handle on how many died and see what else we could  
24 get just from the survey. And so out of the 332  
25 deaths, there were 21 cancers that were reported.

1           And of those 21 cancers, 12 were leukemia and non-  
2           Hodgkins lymphoma.

3           **MS. RUCKART:** (Inaudible).

4           **DR. BOVE:** No, some are and some aren't. I didn't  
5           go that far. So we have 21 cancers on those who  
6           died that were reported by the parents. Nine of  
7           them are something other than leukemia or non-  
8           Hodgkin's lymphoma, so that's what we have.

9                   I also found out the dates. We have year of  
10           death for each one of them except for two. And  
11           about 43 percent died before '79. 'Seventy-nine is  
12           important because 1979 is when the National Death  
13           Index starts. That's going to be the way we  
14           determine cause of death.

15                   Some studies, some mortality studies actually  
16           use the NDI for everything to find out who died,  
17           period. That's expensive so we didn't do that.  
18           We're going to Social Security to find out if they  
19           have died or not. And then sending those who died  
20           or those we're not sure about to the National Death  
21           Index.

22                   So from 1979 onward we can get information on  
23           cause of death. Before '79 we can't get it from the  
24           NDI. The only way to get death information for  
25           those before '79 is to go to the state and get the

1 death certificate.

2 We have a situation with the mortality study.  
3 By the way, the mortality study was always started  
4 as an adult mortality study. It was answering a  
5 different question. We were hammered rightfully,  
6 correctly, that we hadn't looked at adults, and the  
7 mortality study was an effort to do that.

8 And we looked at what kind of data we needed to  
9 do, a mortality study where we could follow people  
10 over time and be pretty confident that we could do  
11 that. And the way we could do that is to have a  
12 social security number on these people, that name  
13 and date of birth although useful are not  
14 sufficient. You really do need social security  
15 numbers. And even with name, date of birth and  
16 social security number, we're still having unknown  
17 problems. But we would be lost without the social  
18 security number.

19 So that's why the mortality study, very clean,  
20 has social security numbers of everybody identified.  
21 Most people we have names. A lot of studies we  
22 don't have names for some ridiculous reason I'll  
23 never understand. They didn't collect the full name  
24 for civilians until late in the day, late in the, it  
25 was sometime in the '80s, early '80s. But we do

1           have their social security number and date of birth  
2           and that should be sufficient, we hope, for this  
3           study. What we're trying to do is follow everybody  
4           and capture all the deaths.

5           So we can't do that for the dependents because  
6           all we have from the survey is the name and date of  
7           birth. So we can't follow these people over time.  
8           The only way we can, now, we can use the locating  
9           firm's information and hopefully that will tell us  
10          whether they died or not. If they died, we can get  
11          next-of-kin information. And we can do all this  
12          through the health survey mechanism.

13         **MR. PARTAIN:** Now when you say health survey, you  
14          indicated there were basically two branches on the  
15          health survey. You got the group, the DMDC group  
16          that's going to get the full-blown survey --

17         **DR. BOVE:** And, and the survey, too.

18         **MS. RUCKART:** The previous survey.

19         **MR. PARTAIN:** The previous survey.

20         **DR. BOVE:** We did that because we wanted to have  
21          dependents covered in one of these two studies, and  
22          that was the only study that made sense to us.

23         **MR. PARTAIN:** So then the in utero population's  
24          going to be included in that group.

25         **DR. BOVE:** In the health survey, the full blown.

1           **MR. PARTAIN:** The full-blown health study.

2           **MS. RUCKART:** The morbidity, the morbidity --

3           **DR. BOVE:** All right. Let's put it this way --

4           **MR. PARTAIN:** The reason why I'm concerned is like  
5 the in utero study is only addressing the kids up  
6 until age 19 and we don't know what happens to the  
7 kids after 19, for example, me. I'm 39 with breast  
8 cancer. So we need to make sure we're capturing  
9 that data.

10          **DR. BOVE:** Then you wouldn't be in the mortality  
11 study, yeah.

12          **MR. PARTAIN:** Yeah, I'm not dead, knock on wood.

13          **DR. BOVE:** And that was the other issue is that, you  
14 know, how many deaths will occur in the younger  
15 population. So that was yet another concern. We  
16 were concerned about the adult population being  
17 pretty young. They're all younger than me, most of  
18 them. So that we were concerned about how many  
19 deaths you have there. The good news is that not  
20 many will die. The bad news is that we have such a  
21 large population that we'll still have large numbers  
22 of deaths.

23                   I've been thinking about what can we do, if we  
24 were concerned about deaths among the in utero  
25 population, what would be the best way to handle it

1           especially since you raised it this morning. I was  
2           trying to think if the health survey was the only  
3           approach and whether it made sense to even think  
4           about other approaches.

5           And I'm not sure because, as I said, the survey  
6           can't tell me anything. It tells me there's 300-  
7           some cases died; probably a lot of them died because  
8           they may have been pre-term or small for gestational  
9           age, and they died of that basically or they may  
10          have died from other causes. But the survey won't  
11          tell me. All the survey will tell me is how many  
12          died of cancer. I just told you there were 20-  
13          something.

14          **MS. RUCKART:** The previous.

15          **DR. BOVE:** Yeah, the previous survey. So I think  
16          the way we're handling the dependents is probably  
17          the best thing we can do for now. And we can  
18          revisit it once we see what the results are of the  
19          health survey and the morbidity study.

20          **MR. BYRON:** This is Jeff. And you even said as far  
21          as in the health survey you're going to ask  
22          questions about other family members where they can  
23          list what other illnesses or --

24          **MS. RUCKART:** No, no, the health survey you'll be  
25          answering just for yourself or if you're getting it

1 as next-of-kin for a deceased family member you'll  
2 be answering just for that specific individual.  
3 There will be a question, we're asking about several  
4 specific conditions.

5 I think what you're talking about is we do have  
6 a question where they can report another disease  
7 that was not specifically asked about but for  
8 yourself or the person who was the subject of the  
9 health survey. Each family member would need to  
10 fill out their own survey on their own behalf.

11 **DR. BOVE:** The list of conditions we're asking  
12 about, actually I think the NRC had published it in  
13 the report. I think they listed them somewhere. If  
14 they didn't, they're on the feasibility assessment  
15 that we have up on our website that went through the  
16 cancers and other diseases we thought there was some  
17 evidence of a link.

18 **MR. BYRON:** They listed like 13 or something.

19 **DR. BOVE:** They had a pretty long list actually.  
20 We're going to be asking about all these diseases.  
21 But as Perri said, we'll leave it open for diseases  
22 we didn't, because we didn't think of it or there  
23 hasn't been any studies done of certain illnesses  
24 some people might have so we wanted to leave it open  
25 so the people could report it.

1           **MS. RUCKART:** However, just to address your question  
2           though about diseases in others, for women who were  
3           pregnant, they will be able to report about the  
4           pregnancy. So otherwise this really just really is  
5           you and your diseases. Your family members would  
6           have their own separate survey.

7           **MR. ENSMINGER:** We have a question in the audience.

8           **MR. STALLARD:** We have a question from the audience.

9           **MS. BLAKELY:** I'm Mary Blakely. I was wondering if  
10          neurological effects would be included as part of  
11          your conditions and would that also include people  
12          that have like a mental disability that wouldn't be  
13          able to do a survey on their own?

14                 Like my sister, she's illiterate. She can't  
15          read and write. Her granddaughter has to read. She  
16          lives in Ohio. She lives far away from us. She  
17          would not be able to do it.

18          **MS. RUCKART:** Yes, there is a place on the survey  
19          for someone to indicate that they're filling it out  
20          on behalf of some incapacitated family member. And  
21          then they would indicate their name and their  
22          relationship to the subject, you know, the person of  
23          interest to us. And then they would fill it out on  
24          behalf of the person who the survey was addressed  
25          to.

1           **MS. BLAKELY:** And also what about giving notice to  
2 all the people that have some sort of mental  
3 disability that the survey's coming out in a way  
4 that they can understand? Because she doesn't read,  
5 so she doesn't read magazines, and she just has  
6 limited skills as far as her ability to understand  
7 things.

8           **MS. RUCKART:** Well, you know, everyone's getting the  
9 pre-notice letter. Does she have someone who's  
10 opening her mail?

11          **MS. BLAKELY:** No, no. I'm the person who told her  
12 about this and got her registered with the Marine  
13 Corps, and I had to help her with that step-by-step  
14 so everything -- and I live in a different state  
15 than her. She lives in Ohio. I live in North  
16 Carolina.

17          **MR. BYRON:** What part of Ohio?

18          **MS. BLAKELY:** Cincinnati.

19          **MR. BYRON:** I live in Cincinnati so you just give me  
20 her contact information, have her let us know, or  
21 you let me know when a survey comes in, and we'll  
22 help her out.

23          **MS. BLAKELY:** Thank you. But what about all the  
24 other people that don't have somebody?

25          **MR. STALLARD:** More broadly the question is for

1           those who are unable or don't read, how do we reach  
2           them? So thank you for bringing that up for the CAP  
3           to consider.

4                     Is that it on the studies? Any other  
5           questions?

6           **DR. BOVE:** Mike, did you have any other questions or  
7           issues that you wanted to raise about what you  
8           raised this morning?

9           **MR. PARTAIN:** About the children mortality?

10          **DR. BOVE:** Yes.

11          **MR. PARTAIN:** I'm still very concerned that the,  
12          we're leaving out a big picture in not identifying  
13          the mortality of the children born at Camp Lejeune.  
14          I mean, because that's one of the big gaps that  
15          science has is what type of effect did these  
16          chemicals have on the in utero population. So a lot  
17          of the science out there is looking adult exposures.  
18          We don't know what it does to children and we need  
19          to answer that question.

20                     I understand the concerns about not having  
21          social security numbers, but we should try to find  
22          some way around that. When you explain this, some  
23          would work around, but that the deaths for the  
24          children are up to, recorded up to about 2000, 2001  
25          when the survey's complete, correct? So any deaths

1           that occurred in the past ten years for all intents  
2           and purposes --

3           **DR. BOVE:** Obviously weren't in the 1999-2002  
4           survey, but again what we have to do is send all  
5           these names and information to a locator to get  
6           current address. I'm talking about the health  
7           survey now. So that would include the dependents in  
8           that 1999-2002 survey. So when that goes out we're  
9           hoping that the locator firm has enough information  
10          to tell us that the child, now adult, died. And if  
11          they died and we get the year of death, then we  
12          could, depending on how many there are, we could go  
13          to the NDI with those.

14                 We haven't thought about that. We've been  
15                 talking all along about confirming diagnoses. If  
16                 the expert panel, it sets the criteria, and the  
17                 Agency feels that it's met that criteria, then we  
18                 would confirm self-reported diagnoses with medical  
19                 records.

20                 I'm thinking about those who died. We get the  
21                 next-of-kin information, but the next-of-kin doesn't  
22                 participate so they're not part of the health  
23                 survey. But we still have information on date of  
24                 death for that person. It might be worthwhile for  
25                 us to go to NDI. It really depends on how many

1           there are.

2                       So it would first depend on how the  
3 participation is in general for the survey because  
4 we won't even move to the second part of the survey  
5 unless the participation rate is deemed high enough.  
6 I don't know what that high enough, I don't know  
7 what that bar is. Apparently there is no such bar,  
8 but we'll come up with one. That's what this expert  
9 panel, I guess, is going to come up with, but there  
10 is no bar.

11                      But suppose the Agency decides to continue and  
12 do the second part of the study which is confirming  
13 diagnoses. Of course, we would try to confirm any  
14 of the diagnoses of those who participated in the  
15 survey including next-of-kin. For those who  
16 haven't, from those people we wouldn't even know if  
17 they had a health problem except for the people who  
18 died if we got that information from the locator.

19                      I haven't thought about exactly what to do  
20 about that and so I'll try to... Again, I don't  
21 know how many there will be. If it's a small  
22 number, it won't make any difference. If there's a  
23 large number, then we'll have to think about that  
24 because you may be right. There may be something  
25 going on here that we can capture.

1           So really there's a lot of factors in other  
2 words here. I'm sort of thinking as I'm talking  
3 here trying to think of the best strategy here. So  
4 I'd have to say we have to wait and see, first of  
5 all, if we're going to go to part two with this  
6 study and actually confirm diagnoses. That's the  
7 first issue but assuming that things go well with  
8 the survey.

9           And there's the second issue of with those  
10 deaths where the next-of-kin didn't participate,  
11 what do we do about them. Because the people who do  
12 participate we're going to try to get confirmation.  
13 The people who don't participate obviously we  
14 wouldn't know anything about them anyway except if  
15 they died. So I have to think about that.

16 **MR. PARTAIN:** Just for future --

17 **DR. BOVE:** I don't know if I'm confusing you or not.

18 **MR. PARTAIN:** Oh, no, like I said, the most  
19 vulnerable population at Camp Lejeune that was  
20 exposed --

21 **DR. BOVE:** I agree with you.

22 **MR. PARTAIN:** -- it's something to consider for  
23 future, I'm sure there's going to be other  
24 contamination sites, what have you, to come up and  
25 something as simple as getting a social security

1 number when you did the original survey --

2 **DR. BOVE:** We did. We got the social security  
3 number. Remember now, we had the social security  
4 number for the respondent, for most of the  
5 respondents, two-thirds of them. The respondent  
6 could have been the mother, could have been the  
7 father or could have been some other relative.  
8 That's only the person we got the social security  
9 number for.

10 **MR. PARTAIN:** Well, if you do have that then, if you  
11 do have a social security number for somebody in the  
12 household for the in utero population. I mean,  
13 maybe you can take a tailored letter outside the  
14 survey, but you have somebody you can contact that  
15 has a direct relation to the person that we're  
16 looking at.

17 **DR. BOVE:** Well, the health survey's going to ask  
18 for the social security number. We'll get the  
19 social security number then. They have to  
20 participate though.

21 **MR. STALLARD:** So did I capture that up here? That  
22 is the NDI and the non-participants of next-of-kin,  
23 capture it?

24 **DR. BOVE:** Yeah, because those who died before '79,  
25 well again, if the next-of-kin participates, we

1 would try to confirm it whatever diagnosis was  
2 reported to us. If it's the cause of death, it's  
3 reported, so we would try to confirm that, too. The  
4 way to do that would be to get death certificates.

5 So NDI's not the issue so much as the issue is  
6 for those who don't participate, the next-of-kin  
7 doesn't participate. Obviously, the child who died  
8 can't participate. But the next-of-kin, if they  
9 don't participate but we know that the child died at  
10 some point, as an adult let's say, what do we do  
11 with that? That's a different situation than  
12 anything else. So let me think about that.

13 But also again, it wouldn't be worth doing  
14 anything with it unless there were a sufficient  
15 number of who had died because otherwise it's not  
16 going to say much.

17 **MS. RUCKART:** One thing also is it may be difficult  
18 to locate the current contact information or even  
19 the vital status of those from the previous health  
20 survey, but we don't have social security numbers  
21 for them because their name might be sort of common  
22 or if they're people who got married since then, so  
23 that's a little bit tricky.

24 **MR. BYRON:** You're going to have that.

25 **DR. BOVE:** Yes, I think we'll be trying, you know,

1 think about this. I mean, we first, I mean, I went  
2 down to -- I forget the name of the base and I  
3 should know the name -- Fort Benning -- I'm blanking  
4 on the name -- Fort Benning to look at and see what  
5 school records there were. I really wanted to look  
6 at dependents, and those records were a mess. I  
7 mean, we couldn't read the tapes, and so we were out  
8 of luck there. The only dependent information we  
9 have is from that 1999-2002 survey. So we're using  
10 it, but we understand that we may have difficulty  
11 getting current addresses on a lot of these people.

12 **MR. STALLARD:** What about yearbooks?

13 **MS. RUCKART:** No, we explored that as well. I mean,  
14 before we went down to Fort Benning, we contacted  
15 Camp Lejeune and the alumni association and the  
16 person who's in charge of the school system. And  
17 they don't really have --

18 **MR. BYRON:** Deteriorated microfiche.

19 **MR. STALLARD:** We're in the age of FaceBook.

20 **DR. BOVE:** Not back then, no.

21 **MR. STALLARD:** Before we move on to the discussion  
22 of the web page, Morris has asked for a few moments  
23 of your time to clarify some issues.

24 So, Morris, come on back up if you would.

25 **MR. PARTAIN:** Frank, I wanted to ask one quick

1 question before Morris gets on, about the health  
2 survey. And I think I heard something about this in  
3 February, but granted that the VA is getting claims  
4 in from Camp Lejeune veterans and their reported  
5 health conditions and what have you, is there any  
6 information sharing going on between the VA and  
7 ATSDR for the purposes of your health survey?

8 Because to me that's a gold-mine database there, and  
9 there should be some type of communication going  
10 back saying, hey, VA, we've got X-amount of claims  
11 here with these health conditions. Here they are  
12 and share them with you. What can be done with  
13 that? Because, I mean, that's --

14 **MS. RUCKART:** These people on the DMDC database ^  
15 are people not in our database ^ leverage use that  
16 information to contact those people in the health  
17 survey. That's what you're saying?

18 **MR. PARTAIN:** For example, the veteran here in  
19 Orlando. He had bladder cancer, kidney cancer, was  
20 treating with VA. Thought it was Agent Orange, had  
21 no idea about Camp Lejeune until recently, and say,  
22 he never found, he didn't hear about it in the  
23 paper. He saw a local paper in Florida. Can that  
24 information be captured through a social security  
25 number because I'm sure his social's there.

1           **MS. RUCKART:** (Inaudible).

2           **MR. PARTAIN:** Yes, because sick people, sick vets  
3 are going to go treat at the VA.

4           **MR. BYRON:** Well, we know there's 200 of them that  
5 you could get names on.

6           **MR. PARTAIN:** And I did pose that to Brad before he  
7 left, but --

8           **MS. RUCKART:** In a sense that's biased because those  
9 people are only diseased people.

10          **MR. BYRON:** Yeah, but biased or not, they still  
11 should get a health survey. I mean, if they didn't  
12 register with the Marine Corps or you but they were  
13 at the VA, then I think they should still get a  
14 health survey if they're saying they were sick from  
15 Camp Lejeune. Then you've got to determine, like  
16 you said, verification.

17          **MS. RUCKART:** Well, one thing I'm thinking is, I  
18 mean, we could see when we have our meeting with the  
19 VA and talk about disclosing of the dialog and all  
20 that is to ask them to encourage people they see to  
21 register and then they get the survey because they  
22 register.

23          **MR. PARTAIN:** Yeah, but we all know how that works  
24 and how miscommunications can spread about and  
25 stuff. But if the database is there, and these

1 people that they track through social security  
2 numbers, that they treat through VA, I mean, the  
3 database is there. There should be some sharing  
4 going on between ATSDR and the VA. You need that  
5 data --

6 **DR. BOVE:** We need data from the VA in order to  
7 confirm diagnoses that are reported to us in the  
8 health survey. That's for sure. We work with the  
9 VA, we've already talked to the VA's cancer registry  
10 about that. We're going to work with the VA on  
11 that, but this is something different.

12 Again, I think our study population is fixed.  
13 This is it. We've identified the main priority. I  
14 think that we have to stick with that in order for  
15 it to be a valid study. I mean, there are trade-  
16 offs here, and the more you try to bring in people  
17 that are brought in for all kinds of different  
18 reasons, the more questionable the study is. So I  
19 want to make sure, we want to make sure we have a  
20 clean study population. We have plenty of numbers  
21 here. Now --

22 **MR. PARTAIN:** Okay, you're talking about the  
23 veterans between '75 and '85 when you're saying your  
24 study population.

25 **DR. BOVE:** And the 1992-2002 (sic) group.

1           **MR. PARTAIN:** Well then use the VA as a fail-safe on  
2           that study group. I mean, theoretically, if a  
3           veteran for some reason did register --

4           **DR. BOVE:** They don't have to register. These  
5           people are part of the study whether they registered  
6           or not. Now, you're bringing up another point so  
7           keep that in mind. These people are in the study.  
8           They don't have to register. A lot of them did  
9           register, but that doesn't, that makes no difference  
10          to us.

11                    They're in the study because we identified them  
12           a priori, beforehand, using the DMDC and the 1999-  
13           2002 survey. They get into the study. We know  
14           nothing about their disease status. They're in this  
15           study because we've identified them before they had  
16           the disease basically. So that's why it's a clean  
17           group.

18                    This other people, the registrars or anyone  
19           else coming in, we have no idea why they're coming  
20           in. We know why these are in. We chose them  
21           without knowing anything about their disease status.

22           **MR. PARTAIN:** You should be able to go back to the  
23           VA and check those people as a counterbalance or a  
24           check to your study. If you identified them, then  
25           go to the VA and say, hey, you have these social

1 security numbers of these people here treating on  
2 your system, and if so, what for? I mean, to me  
3 that's just, like I said, the point is the VA has a  
4 database for you guys to be able to --

5 **DR. BOVE:** We're going to get, they're going to  
6 report to us what their diseases are. You mean the  
7 people who don't participate?

8 **MR. PARTAIN:** Well, I'm saying the target group, the  
9 '75 and '85 Marines on the base. You're going to be  
10 going through and verifying conditions, health  
11 issues and what have you.

12 **DR. BOVE:** For those who participate.

13 **MR. PARTAIN:** For those who participate, right. But  
14 you're going to have their social security numbers  
15 there the target group that you know of.

16 **DR. BOVE:** Uh-huh.

17 **MR. PARTAIN:** You should be able to go to the VA and  
18 look for only those people that you targeted for  
19 study, and if they're in the VA system being treated  
20 for something, get that information for your study.

21 **MS. RUCKART:** And what we will be able to, if they  
22 sign the medical records release form giving us  
23 permission to access their records, and they list  
24 the VA as a treating center, a medical provider, a  
25 healthcare provider that treated them, then we'll go

1 to the VA and get the health records and be able to  
2 confirm what they reported. And also, if they  
3 didn't report something but the VA by researching  
4 their records shows they were treated for something  
5 else, we'll get that.

6 **DR. BOVE:** Well, you know, a lot of people did not  
7 get their care, most of the veterans did not get  
8 their care through the VA. So, I mean, we were  
9 talking about using the VA cancer registry, but  
10 that's why we're talking to 50 state cancer  
11 registries. Because that's not where we're going to  
12 get them, we're not going to get the information on  
13 cancers from the VA most likely for most of the  
14 people because they're not there. Just keep that in  
15 mind.

16 **MR. FONTELLA:** Jim Fontella. Are you saying, Frank,  
17 that because of the work situation, the Detroit VA  
18 is swamped because their people have no medical any  
19 more, and these military people are jamming into the  
20 VA getting treatment.

21 **DR. BOVE:** Okay, but that wasn't the case even a few  
22 years ago.

23 **MR. FONTELLA:** No, it just happened in the last  
24 couple years.

25 **DR. BOVE:** Yeah, 20-to-25 percent.

1           **MR. FONTELLA:** Yeah, there could be more.

2           **DR. BOVE:** That's fine. That's fine. I thought  
3 that the concern might have been for those people  
4 who don't participate. If they participate they  
5 will tell us what they have. We will go to wherever  
6 we need to go to confirm them, whether it's the VA  
7 or the state cancer registry or the doctor that  
8 treated them. So confirmation is a big job, but  
9 that's what we plan to do.

10          **MR. STALLARD:** Does that address your concern?

11          **MR. FONTELLA:** Okay.

12          **MR. BYRON:** I have one more. How about the cohort  
13 from Pendleton? Is everything going smooth there?

14          **DR. BOVE:** We're doing the same thing for the  
15 Pendleton group. In the mortality study we're using  
16 them all because you can do that in a mortality  
17 study. In the health survey we're taking a sample  
18 of 50,000 of the active duty and 10,000, of all the  
19 civilians there was only 10,000 roughly.

20          **MS. RUCKART:** And then all the females.

21          **DR. BOVE:** I was going to get to that. But Westat  
22 asked us how do you want to sample. Do you still  
23 want to do a random sample? I said no, get all the  
24 females included because there's small numbers on  
25 both sides, Pendleton and Lejeune, so we might as

1 well get them all and then take a random sample of  
2 the males so that's how it will be done from  
3 Pendleton. So it'll be 50,000, all the women and a  
4 random sample of the males to make that 50,000.

5 **MR. STALLARD:** All right. Morris is not standing at  
6 the microphone. He's sitting here waiting to give  
7 us an update.

8 **MR. PARTAIN:** Sorry, Morris.

9 **WATER MODELING UPDATE (CONT'D)**

10 **MR. MASLIA:** That's okay.

11 Just a couple of points, one, somewhere during  
12 my presentation this morning we discussed CLW  
13 document 1406 in reference to sampling that took  
14 place during January 1986. In re-reviewing that  
15 document again and getting clarification on some  
16 acronyms used in there, basically it's our  
17 determination that that sampling analysis was done  
18 by the Navy itself. N Read is the Natural Resource  
19 Environment ^ base maintenance office together the  
20 samples were taken.

21 So what I have done is officially sent an e-  
22 mail to Admiral Rodenbeck, who's ATSDR's point of  
23 contact for the Data Mining and Data Discovery  
24 Technical Workgroup, asking the Data Mining group to  
25 basically give us any and all sampling information

1 for January 1986. And I attached that CLW document  
2 as a reference.

3 **MR. PARTAIN:** If I'm not mistaken we've at that time  
4 -- and correct me if I'm wrong, Jerry -- I think the  
5 base had obtained equipment to do their own sampling  
6 through Betz.

7 **MR. ENSMINGER:** That was later on.

8 **MR. PARTAIN:** That was later on?

9 **MR. ENSMINGER:** That was addressed in that letter.

10 **MR. MASLIA:** May very well be. Anyway, we have  
11 requested that. If such samples exist that would be  
12 great for calibration purposes since we have none.

13 **MR. BYRON:** Also the USMC was doing samples for --

14 **MR. MASLIA:** Well again, we've looked at the  
15 external, I say external documents, you know,  
16 contractor and such. So anyway, just wanted to let  
17 you know that I have made that request for the,  
18 that's an activity definitely for the data mining  
19 group to undertake before they phase out or close  
20 out or whatever, and do that.

21 Secondly, on the issue of the FOIA review, UST  
22 file DVDs -- I know, Jerry, you asked me for a set -  
23 - we have now gone through four machines, and I have  
24 pulled one modeler off the job and we only have two  
25 of them done. We cannot duplicate them. We use

1           them live on the LAN and all I can tell you is you  
2           really need to, or the CAP needs to I guess go  
3           through the Department of Navy and, you know, I  
4           don't know what the legal issues or answers to that  
5           is.

6                        But at this point, these DVDs will be in  
7           Chapter D and we will figure out a way, whether we  
8           have to bring on a contractor to compress them or  
9           whatever to do that, professionally stamp them out.  
10          But I've got two here, but as I said, we've gone  
11          through four machines and they keep corrupting.

12                       So I've got two good ones here. A third one's  
13          burning, but it's just really a use of resources  
14          that we cannot continue to do. And it's not that we  
15          don't want to comply with your request or help you  
16          out with that.

17          **MR. ENSMINGER:** The problem I had with the first set  
18          was that the second ^.

19          **MR. MASLIA:** Okay, well, again, it could be any  
20          number of issues. A lot of the file names, and some  
21          of them appear I know on DVD number two, are not ISO  
22          8.3 compatible. The names are 32-characters long,  
23          and that may be part of the issue. So here's two.  
24          I'll give you two. We're working on a third one.  
25          If you're still here, I don't know what time y'all

1 are finishing up, it's verifying it right now and  
2 we'll give you that set. But I really would ask you  
3 to understand the limited resources we have.

4 **MR. BYRON:** Also, we can get our own guys to copy  
5 these things.

6 **MR. PARTAIN:** I had the same problems. We've got a  
7 working thing on the computer. What we have to do  
8 is use zip drives to get back and forth. We're  
9 having the same problems with this. I've got an  
10 actual one-disk set that works, but trying to  
11 duplicate that set is almost next to impossible.  
12 You have to load it on a computer.

13 **MR. BYRON:** But is that you trying to duplicate it?

14 **MR. PARTAIN:** I've tried it. I've asked other  
15 people to try it.

16 **MR. BYRON:** ^ that does that for a living?

17 **MR. PARTAIN:** I mean, it's me trying it and people  
18 that are my friends. It comes down to money.

19 **DR. BOVE:** But correct me if I'm wrong, but Chapter  
20 D will have it, with these DVDs, they'll have a  
21 search, a proper search capability.

22 **MR. MASLIA:** What Chapter D will have, like Chapter  
23 C, we used proprietary software on the Chapter C  
24 DVD. That's out in Chapter A for Tarawa Terrace, to  
25 compress the files, to take all the white space out.

1           And that's why if you search the Chapter A DVDs,  
2           they search much faster than even doing a live  
3           search of just a plain Jane PDFs on your computer  
4           because you're searching white space, plain Adobe  
5           white space. So we will probably go to again some  
6           proprietary software which you pay by the page to  
7           compress these files, the FOIA review files that  
8           will be released.

9           But at this point in time we're not there and  
10          for us to spend any more effort and resources. I  
11          cannot tell you how precious the resources are. It  
12          takes away really from modeling and model input and  
13          things like that so that's where we stand with that.  
14          Again, if you have a question about a certain file  
15          or stuff and a reason why --

16          **MR. PARTAIN:** Well, another idea, why don't you  
17          just, ATSDR write Scott Williams to see if they can  
18          provide, the Navy provide a hundred disks to --

19          **MR. MASLIA:** We did and the answer was to file a  
20          FOIA request.

21          **MR. PARTAIN:** So much for the health-safety welfare  
22          concern for the Marines.

23          **MR. MASLIA:** That was the answer.

24          **MR. ENSMINGER:** Thank you, Morris.

25          **MR. STALLARD:** That was fast action from his

1 presentation this morning to clarifying that.

2 **MR. PARTAIN:** Thank you, Morris, for checking up on  
3 those samples.

**DISCUSSION OF CAP MEMBERS' CONCERNS ABOUT ATSDR CAMP**

**LEJEUNE WEBSITE**

4 **MR. STALLARD:** What's the topic about the website?

5 **MS. RUCKART:** Well, you know, some of the CAP  
6 members expressed interest in wanting to discuss the  
7 website, and then I sent an e-mail asking for  
8 clarification about what specific issues you all  
9 wanted to discuss so we could have a focused  
10 discussion, and I didn't get a response. Do y'all  
11 still want to discuss our website?

12 Jerry?

13 **MR. ENSMINGER:** What?

14 **MS. RUCKART:** Did y'all still want to discuss the  
15 ATSDR website?

16 **MR. ENSMINGER:** No.

17 **MR. STALLARD:** Do you have anything about the, we  
18 moved on in the agenda to the website discussions.  
19 What are the issues? What are the issues?

20 **MR. ENSMINGER:** Well, I mean, you really have to  
21 search around on that website to find the stuff.  
22 You know, the water modeling's getting so big that  
23 it's difficult to track. And then a lot of stuff

1 that's been, it's basically hidden under some other  
2 link in there. I mean, it's really difficult to  
3 follow. I mean, I know how to get in there and  
4 ferret the stuff out. But people that are going to  
5 the ATSDR website for the first time...

6 **MS. RUCKART:** Christian is here.

7 Christian, do you want to...

8 **MR. STALLARD:** If you'd like to, you can say no.

9 **MR. SCHEEL:** Yeah, I'd rather get the feedback and  
10 then come back --

11 **MR. BYRON:** So we need to give you more feedback.

12 **MR. SCHEEL:** Yeah, I'd rather get the feedback and  
13 come back with a more considered answer than what I  
14 can do here.

15 **MR. STALLARD:** So it's a question of usability right  
16 now?

17 **MR. PARTAIN:** It's content and, if you type in Camp  
18 Lejeune ATSDR, then find it. But if you don't know  
19 ^ doing a Google search, give me something like  
20 that.

21 **DR. BOVE:** Give us your feedback and we'll get it  
22 down to Christian.

23 **MS. RUCKART:** Specific examples of things that  
24 you're having difficulties with because that's what  
25 Christian will need to be able to address your

1 issues.

2 **MR. PARTAIN:** I will do that. I mean, I wasn't  
3 paying attention to that prior to the beginning of  
4 this meeting this morning.

5 **DR. BOVE:** For example, trying to find, if you are  
6 interested in your levels of contamination you were  
7 exposed to at Tarawa Terrace, trying to get to that  
8 table is not easy, less easy before.

9 **MR. PARTAIN:** It's buried with time. It's not  
10 updated.

11 **DR. BOVE:** I'm not sure. There's some rules that we  
12 have to follow on the website. I'm not sure I know  
13 what those are, and so that's part of the problem.  
14 But anyway, that's just an example. If you have  
15 examples of difficulties where you think things need  
16 to be more easily accessible, just give them to us  
17 and we'll forward them.

18 **MS. RUCKART:** I would say this. I mean, typically  
19 when something is new we put that at the top. But  
20 if there are specific reports or subjects that you  
21 think should always be at the top because they're  
22 very key, then they can be still kept at the top.

23 And we just keep moving everything down like a  
24 chronological process. But if there's certain  
25 things that you always just want to be at the top

1           that you think are really important resources, we  
2           can consider that.

3           **MR. SCHEEL:** We would consider that.

4           **MR. STALLARD:** So let's get some, if there's  
5           anything else.

6           **MR. BYRON:** So maybe we put that on our website and  
7           ask if people are having problems and what problems  
8           they're having and get that back to you.

9           **MR. PARTAIN:** We can also link our website, too.  
10          Link the community websites up on the ATSDR page.

11          **DR. BOVE:** Do we have the link?

12          **MR. PARTAIN:** I've seen it there before. I don't  
13          know where they're at now. They're hard to find.

14          **MS. RUCKART:** It's on there, but it's under the  
15          community resources section.

16          **MR. PARTAIN:** Yeah, it's one of those hard-to-find  
17          things. It's there, but someone going through is  
18          not going to see it.

19          **MR. STALLARD:** So more to follow on that as we get  
20          your feedback then, right? This is updating what  
21          you said to have ATSDR officially request the --

22          **MR. ENSMINGER:** Distribution of Dr. Portier's letter  
23          to all their registrants.

24          **MR. STALLARD:** So I think we're closed out on the  
25          website discussion. So let's move now into the male

1 breast cancer discussion.

2 **MALE BREAST CANCER OPTIONS**

3 **DR. BOVE:** This has come up both internally and  
4 then, of course, because ^ what we should do about  
5 male breast cancer at this point. And I was asked  
6 by Dr. Falk several months ago to come up with some  
7 ideas, and I did. It wasn't really a formal  
8 presentation, but I had some ideas and I gave them  
9 to him.

10 Keep this, the same ideas are right here. I'm  
11 going to hand them out, but keep in mind at this  
12 point there have been a number of male breast  
13 cancers, quite a large number actually, identified.  
14 But we haven't done the other studies, the mortality  
15 study, the health survey.

16 There are other cancers that are probably  
17 likely to be in excess because they've been in  
18 excess in other studies, TCE or benzene or so on,  
19 such as non-Hodgkins lymphoma maybe or renal cancer.  
20 So the question is do we want to do anything about  
21 male breast cancer at this point or do we want to  
22 wait for some of the results of the other studies.

23 So that's why I put this together and so these  
24 are kinds of things that we could possibly do.  
25 We're not committed to anything at this point. And

1           this is basically just to start the discussion. So  
2           let me go through these possibilities.

3                   And the first one is to treat it like we would  
4           treat, like a state agency actually treats a cluster  
5           investigation, or at least some state agencies.  
6           Some state agencies don't want to deal with  
7           clusters, but if they did want to deal with a  
8           cluster, how would they do it.

9                   And the first thing they would try to do is get  
10          all the information they can from those cases, any  
11          information. First to confirm the case and then to  
12          get some information about socio-demographics of the  
13          case, the occupational history, any hobbies,  
14          anything that might be interesting about that case.

15                   In this situation we want to know, of course,  
16          what their activities were at Camp Lejeune and any  
17          work activities as well, and any activities at Camp  
18          Lejeune. So that's the first thing is to get  
19          information from all the cases, confirm them, find  
20          out what might tie all those cases together besides  
21          the broad thing of Camp Lejeune. Is there some  
22          specific activities, specific areas of the base,  
23          specific times they were there where the  
24          contamination might have been higher or lower,  
25          anything. So that's one idea.

1           **MR. PARTAIN:** And by the way, Frank, when I talk to  
2 these guys as we find them, I find out, I ask what  
3 unit you were with, were you around the base, what  
4 type of job -- not everybody could remember  
5 everything -- so I did get a lot of that information  
6 in the spreadsheet that I have.

7           **DR. BOVE:** That's good. That's the kind of  
8 information that would be useful if we took this  
9 approach. I put a little, under A, sub-A, the  
10 difficulties of actually determining whether this is  
11 a, quote-unquote, real cluster or not. Because the  
12 problem is we only know what the denominator is. We  
13 don't know the population these cases came from,  
14 their age distribution and so on.

15                   If it's just limited to those who were active  
16 duty, not dependents, just active duty people, we  
17 still don't have a good sense of the size of that  
18 population. Then if you throw in dependents on top  
19 of that we have no idea. So that's part of the  
20 problem. But it's not clear to me that that's  
21 necessary.

22                   A lot of times cancer registries, state health  
23 agencies check to see if a cancer cluster's  
24 statistically significant. And even if it is, it  
25 doesn't necessarily mean that they can figure out

1           what caused it, and we've had several instances that  
2           we've found. Nevada's the classic example where the  
3           P-value, the statistical significance was off the  
4           charts. I mean, it was unbelievable. We still  
5           don't know why that cluster occurred.

6           So just knowing it's statistically significant  
7           or even a true cluster may not be as interesting or  
8           important as being able to tie the cases together or  
9           coming up with some kind of cause that might tie  
10          them all together. So I put that little sub-thing A  
11          there just to tell you that it's difficult to  
12          actually determine if it's a true cluster or not.  
13          That may not be necessary.

14          So the second possibility is to just look at  
15          the results of our two studies and that would be  
16          true of any cancer or any disease that came out of  
17          those two studies to explore further. We can  
18          explore that further depending on what the disease  
19          is. If it, for example, was lung cancer in the  
20          mortality study, then everyone would say, oh, it's  
21          due to smoking, we have smoking information. Of  
22          course, we can do some analysis to see how much  
23          smoking would have to occur to do ^ excess that will  
24          do that.

25          But if we wanted to get additional information

1 on those cases, we could do what they call a nested  
2 case control study. Take a case of lung cancer,  
3 take a random sample of other people and get more  
4 information on their smoking habits to rule that  
5 out. So there are options once we get the results  
6 of the two studies. So that's the second approach.

7 **MR. PARTAIN:** But, Frank, what about, I mean, like  
8 we've talked from the very beginning, male breast  
9 cancer is a rare disease, and if we look at the  
10 studies and what have you, there's going to be, I  
11 mean, there should be a low number. So at what  
12 point does a number mean, like you mentioned  
13 earlier, become statistically significant? I mean,  
14 are we at that point now with 66?

15 **DR. BOVE:** Well, that's the problem. I don't know  
16 what the denominator is.

17 **MR. PARTAIN:** Because doing the health survey we may  
18 identify a few more, but we're still in that same  
19 boat. It's a rare disease. It doesn't show up very  
20 often, but yet we've got a group here, but we can't  
21 determine what it means.

22 **DR. BOVE:** I've done an off-the-cuff, back-of-the-  
23 envelope evaluation based on very rough notions of  
24 how big the population was, and not really knowing  
25 about dependents at all. And you can come up with a

1 figure anywhere between 60 and 70. But you haven't  
2 ascertained them all anyway.

3 **MR. PARTAIN:** Yeah, that's just us poking around.

4 **DR. BOVE:** Again, I don't know. But the difficulty  
5 is we'll never be sure what the denominator is, and  
6 I'm saying that that may not be a useful exercise  
7 anyway. If we can relate the drinking water to the  
8 cases, that's what's important, not determining  
9 whether it's a true cluster. Because as I said, at  
10 Fallon it was definitely a cluster, but we have  
11 absolutely no idea what caused it so you're at a  
12 dead end. So proving that it's a cluster may not be  
13 the most important thing to do here. What is more  
14 important is being able to make a case that those  
15 cancers are related to the drinking water exposure.

16 **MR. PARTAIN:** I mean, because we've got constituents  
17 of the population as far as breast cancer that range  
18 from exposure zero to mid-30s, what have you, with  
19 the majority of them being over the age of 18  
20 because it was by far the most population of  
21 Marines. But we've got children. We've got infant.  
22 We've got in utero. We've got children and the  
23 adults so we're all over the place.

24 **DR. BOVE:** You're all over the place, right. And  
25 that's why I'm saying I don't think we can ever

1 determine what, how many you have to have to be a  
2 true cluster. But again, I'm not so sure that the  
3 answer to that question is something we really are  
4 interested in. We're interested in can we link the  
5 drinking water to it.

6 That I think is the, a couple things, one, I  
7 think the mortality study we expect something like,  
8 we may expect about three cases. The mortality  
9 study's not a good way to look at male breast  
10 cancer. The survey will, I think, expect to see,  
11 and this could change, but something on the order of  
12 11 cases. So you have a little bit more power. You  
13 don't have a lot of statistical power because,  
14 you're right, it's a rare cancer.

15 **MR. PARTAIN:** So in the survey you're expecting 11  
16 cases?

17 **DR. BOVE:** Yeah, I think when I did this, yeah.  
18 Again, I had to make some assumptions so give or  
19 take, but that's how many I'd expect.

20 **MR. PARTAIN:** And you're talking about the survey,  
21 the veterans between '75 and '85. That's what  
22 you're referring to, right?

23 **DR. BOVE:** Let's see. Yeah. No, no, I tried to  
24 include everybody in this.

25 **MR. BYRON:** That's out of the 163,000 that

1 registered? You're saying no, and he's shaking yes  
2 for a second. Which is it?

3 **DR. BOVE:** I'm looking at my notes and what I did  
4 was I included, I assumed that the participation  
5 rate was 50 percent -- maybe high, maybe not -- and  
6 I included the survey people, too.

7 **MS. RUCKART:** The registrants you mean?

8 **DR. BOVE:** No, it's just the active duty, yeah.  
9 It's just the active duty. It's not the civilians,  
10 so out of the active duty portion, 11 cases. I'm  
11 sorry because I did this awhile ago and I'm trying  
12 to look at my notes.

13 **MS. RUCKART:** We sent out approximately 220,000 to  
14 active duty people and 50 percent of them --

15 **DR. BOVE:** It includes the 4,000 in the survey that  
16 aren't, you know.

17 **MS. RUCKART:** So about 220,000 active duty give or  
18 take that 50 percent of those participate in the  
19 survey, so like 110,000 active duty Marines  
20 participating.

21 **DR. BOVE:** I did that to get a sense of what the  
22 statistical power is for male breast cancer. It's  
23 not high, but it's much better than the mortality  
24 and the morbidity studies.

25 **MR. PARTAIN:** And when you mentioned the scratch pad

1 on the back of the envelope, you said 60 or so.

2 What number are we using for that?

3 **DR. BOVE:** Let's do that calculation.

4 **MR. PARTAIN:** Sorry, I'm just throwing it out there.

5 I just want to understand it.

6 **MR. STALLARD:** And Jim had a question.

7 **MR. FONTELLA:** See if I can find that.

8 **DR. BOVE:** Yeah, my best guess of how many males  
9 were potentially exposed in Lejeune between '55 and  
10 '85, I made a couple of assumptions. If there were  
11 222,000 Marines at the base at any one time, bottom  
12 line, I assumed something like 600,000 males were  
13 potentially exposed. Males period, 600,000. Now it  
14 goes back to remember when I was saying to the media  
15 somewhere between 750,000 might have been at the  
16 base, and then I was criticized for making that  
17 statement. And then the Marine Corps actually tried  
18 to do a somewhat similar exercise and came up with  
19 roughly the same answer. But the problem with all  
20 these things is there's not data. What I simply did  
21 was there's --

22 **MR. PARTAIN:** You made a scientific guess,  
23 extrapolated.

24 **DR. BOVE:** This is how simple, I knew how many  
25 people were there from '75 to '85, right, and

1 multiplied by three. And to tell you the truth you  
2 could do that or you can do a little bit more  
3 elaborate exercise and come up with roughly the same  
4 answer. In other words --

5 **MR. PARTAIN:** So basically --

6 **DR. BOVE:** -- what that tells you is that we don't  
7 have information.

8 **MR. PARTAIN:** But when you mention a guess of 60 or  
9 so cases, you're basing that on 600,000 males  
10 exposed between '55 and '85.

11 **DR. BOVE:** Right.

12 **MR. PARTAIN:** Are you assuming males --

13 **DR. BOVE:** And their age distribution because I had  
14 to guess that again, and then the U.S. rates for  
15 male breast cancer, age-specific rates. So doing  
16 that, because that's how you have to do it,  
17 determining how much time a person has as they go on  
18 in life they accumulate person time that goes into  
19 each different age box, 35 to 44, 45, 50, so it's  
20 hard to explain.

21 **MR. PARTAIN:** Yeah, because they've got latencies of  
22 ten to 20, 30 years later.

23 **DR. BOVE:** Right. And then doing that and that's  
24 how I came up with the figure somewhere. I think it  
25 was --

1           **MR. PARTAIN:** So that figure would be just Marines,  
2 not dependents of Marines, right?

3           **DR. BOVE:** I don't want to put too much weight on  
4 this because there's so many --

5           **MS. RUCKART:** But includes dependents, right?  
6 Because we would consider anyone who was on the  
7 base.

8           **DR. BOVE:** Yes. I guess there are about 55 cases on  
9 the base. An additional 15 cases in situ of male  
10 breast cancer. So it comes out to 70.

11           **MR. PARTAIN:** What was the other? Fifteen? That  
12 55?

13           **DR. BOVE:** Fifty-five on base and 15 in situ. So  
14 but don't put these numbers down. It's simply, I  
15 was trying to get a handle because there are all  
16 kinds of numbers were thrown out there. The Marine  
17 Corps or Navy had a number out there which didn't  
18 make any sense to me.

19           **MR. PARTAIN:** They said 400 cases for 400,000  
20 exposed.

21           **DR. BOVE:** Right. You have to do something like I  
22 did, but of course, you'd like to have actual data  
23 to base it on than a lot of assumptions. But this  
24 is how you have to do it. So these numbers are more  
25 in the ballpark than any of the other numbers out

1           there. But take it with a grain of salt because I'm  
2           working from, I'm guessing as to age distribution.  
3           I'm guessing as to how many people were there.  
4           There are all these guesses going on because I don't  
5           have the data to work from.

6           **MR. FONTELLA:** Frank, the last CAP meeting you  
7           mentioned the Brinton report where there was  
8           4,500,000 male veterans that were surveyed through  
9           the Veterans' system between 1969 and 1996 where 642  
10          of them had male breast cancer. Now that number,  
11          when you look at that number, that is when you look  
12          and you breakdown of one male per 100,000 men, and  
13          that's a huge, huge number also. And they didn't  
14          take environmental factors or family risk factors  
15          into account. So, I mean, can you draw from that as  
16          well?

17          **DR. BOVE:** That's number four on my list here. I'll  
18          jump right down to number four and skip over three.  
19          No, that's fine. Just what you said. There was a  
20          previous VA study of 600-and-some, 42 cases. We  
21          don't know much about those cases of the study.  
22          Yeah, that's a request to go to the VA to revisit  
23          that.

24          **MR. FONTELLA:** Well, if you look at the time period  
25          and from the '60s through the '70s with Agent

1 Orange, I just found the two studies, two dockets,  
2 that were in the VA appeals claim process where they  
3 won, it was not male breast cancer, but it was on  
4 blood diseases, AML and there's another blood  
5 disease, from benzene exposure. They proved that  
6 benzene was in Agent Orange. They had to prove it.

7 The VA had to hire an independent metal expert  
8 to investigate the fact, find out for sure whether  
9 benzene was in the manufacturing process or the  
10 distribution process of Agent Orange. And they  
11 proved their case, and they were awarded their  
12 claims. So when you look at all these men that had  
13 breast cancer in that Brinton report, they didn't do  
14 any environmental exposures or talk to these men.  
15 None of them were interviewed. Could it possibly be  
16 that possibly Agent Orange might be...

17 **DR. BOVE:** Well, again, well, some of that  
18 information they probably could get from the data  
19 linkage effort. Maybe they'd have to interview them  
20 for Agent Orange. There's some data from the DMDC  
21 that could be used, but I would bet if they really  
22 wanted to do it right, they'd have to interview  
23 these people. They would even interview them anyway  
24 out of respect.

25 **MR. FONTELLA:** These are all military men as well.

1 All the military was in Viet Nam, obviously, not  
2 just Marine Corps.

3 **DR. BOVE:** But this included all the services, these  
4 642 cases. So anyway that's the fourth possibility  
5 to revisit these cases and to do a study. And that  
6 would be a VA study.

7 The third approach had to do with in case our  
8 health survey didn't work out well that we've always  
9 talked about a data linkage study with the cancer  
10 registry similar to what we do in the mortality  
11 study. It's never been done nationwide because the  
12 50 state cancer registries, many of them have rules  
13 that they cannot give out this information without a  
14 consent form.

15 However, the VA cancer study, the Gulf War  
16 cancer study used, I think it was like 20 cancer  
17 registries, somewhere around that number. What they  
18 did was pretty ingenious I thought, and we're  
19 thinking about it in case our health study is not as  
20 helpful as we hope it is and that is to get  
21 information from the cancer registries that want to  
22 participate without getting personal identifier  
23 information but still getting enough information so  
24 you can do an analysis.

25 And that would be -- you give them peoples'

1 names, social security numbers, whatever information  
2 you have. They would give you back the number of  
3 cancers in particular age groups, types of cancers,  
4 and if you have an exposure category, the cancers in  
5 each one. They could give you that information so  
6 that all the information you would need to do it for  
7 an analysis you'd have, but you would have no idea  
8 who these people are. You'd know nothing about the  
9 cases, who they were.

10 And so it would have to take some doing because  
11 that was simple yes, no in the Gulf War. We just  
12 want to have exposure levels. We want to say, you  
13 know, certain different levels of contamination. So  
14 it will be a little more difficult, but it's  
15 something to think about.

16 Again, we probably want to wait and see what  
17 happens with the health survey before we embark on  
18 it. And this is something we can always put in the  
19 background until then. And we can look at any  
20 cancer, male breast cancer, leukemia, whatever.

21 **MR. STALLARD:** When is the health survey expected to  
22 be completed?

23 **MS. RUCKART:** Well, you know, we have the two phases  
24 so the first phase we're going to begin with the  
25 mailings in March, and we're going to mail those out

1 through July. But we're going to continue to  
2 receive surveys through September to allow for the  
3 full wave to be completed. And then there's  
4 processing that needs to go on to input the results.  
5 And during that time we'll be having our expert  
6 panel meetings and then the contractor will continue  
7 to process and deliver a final dataset to us based  
8 on the results of the health survey, self-reported  
9 diseases.

10 In March of 2012 at some point close to that  
11 time frame we would begin the second phase of  
12 confirmation if the Agency decides to move forward  
13 with that. And then I don't have the timeline for  
14 that phase since that's unclear if we'll be  
15 conducting that or not.

16 **DR. BOVE:** I think we can safely say that it would  
17 be probably by the time the data are in and the  
18 analysis, report writing, all the clearances, we'd  
19 be talking sometime in 2013, probably.

20 **MS. SIMMONS:** That's phase one?

21 **MS. RUCKART:** No, phase one would be completed in  
22 March of '12, but that would include the processing  
23 of all the results received by the contractor and  
24 then delivering to us a final dataset. Then before  
25 they actually deliver the final dataset, we'll know

1 if we're moving forward with the confirmation.

2 And as Frank said, if we did move forward, the  
3 time we conduct that effort and get the data and  
4 analyze that and write all the reports for that that  
5 would be some time in 2013 but it's less clear for  
6 exact dates.

7 **DR. BOVE:** Yeah, I would bet it would take at least  
8 a year of hard work to confirm all the self-  
9 reported. That may be optimistic. Getting medical  
10 records, getting the information from the cancer  
11 registries and the VA and so on is going to take  
12 time. That's a big job. That's why ATSDR decided  
13 to wait on that going forward until we see if the  
14 health survey has enough participation. That was  
15 pretty much the reason because it's expensive, time  
16 consuming, a huge effort. But if you want a valid  
17 study, you have to do that. So that's what the  
18 Agency has to weigh.

19 **MR. STALLARD:** Are there any other questions about  
20 all that?

21 **MR. FONTELLA:** Jim Fontella. You say on here about  
22 previous VA cancer study on male and female breast  
23 cancer. That study, that Brinton report, was just  
24 on males.

25 **DR. BOVE:** We had a study in 2007.

1           **MR. FONTELLA:** A different one.

2           **DR. BOVE:** I'm not sure if it's different or not. I  
3 have to double check to make sure. They had a large  
4 number of people that looked at it with male and  
5 female breast cancer. It may be the same study.

6           **MR. FONTELLA:** The copy that I have says all males.

7           **DR. BOVE:** No, no, no, but they also did a, they did  
8 females, too, and they compared the two in terms of  
9 various parameters like survival rate, I think it  
10 was. I have to go back and look at it but nothing  
11 again about what service they were in or anything of  
12 the sort for males or females. And that's more of  
13 trying to get a handle where the differences are in  
14 breast cancer. Are there similar things going on?  
15 In fact, they did find some similarities.

16           **MR. BYRON:** I was looking at the registration,  
17 registrants by state and looking at registrants  
18 overseas, and I was just curious how we had 562. We  
19 have that many fellow soldiers from Africa come to  
20 the U.S. for training or --

21           **MR. ENSMINGER:** Could be former Marines that live  
22 there.

23           **MR. BYRON:** That's what I wondered, you know.  
24 That's more likely that they're moved over there. I  
25 was just curious.

1           **MR. STALLARD:** So I suspect that as we move forward  
2           the male breast cancer study issue will remain an  
3           agenda item as will the studies that Frank and  
4           company are doing.

5           **DR. BOVE:** I'm sorry?

6           **MR. STALLARD:** I said that will be a recurring  
7           agenda item and update and all that.

8           **DR. BOVE:** So what I handed to you is definitely a  
9           draft. Again, if you have any ideas along this  
10          score, you know, discuss it at the future CAP  
11          meetings.

12          **MR. ENSMINGER:** It would be interesting to see what  
13          the, if it did in fact create this large number of  
14          males that have breast cancer, good god, can you  
15          imagine what it did to women? The end result was  
16          most of the women that were affected at Lejeune were  
17          all dependents. You had some government service  
18          employees that worked at the base, and you had some  
19          women Marines and women Navy personnel, but for the  
20          most part they were dependents.

21          **MR. BYRON:** You know the sad thing is is that it's  
22          so much more common in the population that will they  
23          ever link it to Camp Lejeune?

24          **MR. ENSMINGER:** Yeah, well, I mean, how are you ever  
25          going to find them all either?

1           **DR. BOVE:** But, you know, the mortality study is  
2           problematic in this regard, too, but there is some  
3           power. There is some power in there. So if they  
4           died of breast cancer... You know, we may be able  
5           to see something. It's not going to be great but  
6           there are other cancers, too, that are also going to  
7           have a little power. Going back to our feasibility  
8           assessment, I think you can see it up there where we  
9           had the power calculations. We've done more recent  
10          ones. Actually, I don't know if I've presented --

11          **MR. ENSMINGER:** How many females are in this cohort?

12          **DR. BOVE:** I think it's four or five percent of the  
13          active duty. I have to go back and look. But I did  
14          do power calculations. I actually did a whole set  
15          of power calculations last year when we were  
16          negotiating with the Navy around funding both for  
17          the mortality study and the morbidity study. I  
18          don't remember if I've ever presented that here, but  
19          if I haven't, maybe I'll put that on the agenda and  
20          I'll go over that next time.

21          **MR. PARTAIN:** Frank, I was going to ask you. Since  
22          we had this group 20, 75 and 85 that we studied, we  
23          have the number. Can we give the calculations for  
24          major cancers? I mean, we've got tons of kidney  
25          cancers on our website, bladder cancers, non-

1 Hodgkins lymphoma. Can we get the, out of that  
2 group that we know we've been studying, we know we  
3 have the number, can we get the calculations for  
4 that to see what is expected out there?

5 It'd be nice to have, at the next CAP meeting  
6 if we could have that because we do our own work and  
7 we try to talk with people on the site. And I think  
8 Jim took on himself and collated a bunch of our  
9 kidney cancers.

10 And what, we had a hundred and something?

11 **MR. FONTELLA:** Well, it was I think 175 or something  
12 like that out of less than 2,000. It was almost ten  
13 percent. It was like eight or nine percent.

14 **DR. BOVE:** What I did was, think about what I was  
15 trying to do here. I was trying to convince. First  
16 of all, the mortality study is pretty  
17 straightforward. But the morbidity study, health  
18 survey morbidity study, I had to come up with some  
19 different participation rates.

20 So what I think I used was 50, 40, 30,  
21 something like that. And I don't know if I did like  
22 down to 20 percent participation. I wanted to show  
23 that even in a very low participation, this is such  
24 a huge survey that you've got pretty good  
25 statistical power.

1           So that was one of my agendas was to show that,  
2 but I didn't do it for a hundred percent  
3 participation because that's not real, but that's  
4 sort of what you're asking me to do. That can be  
5 done.

6           Again, I'm not so sure what the utility of that  
7 is because, I mean, that's the point of the health  
8 survey is to use that information, the information  
9 from the water modeling, to look at those who  
10 respond, exposure-response relationships. But I  
11 could do, it's possible to do what you suggest.

12           I haven't thought about doing that before. But  
13 I could look at the age distribution of the DMDC  
14 cohort. I can look at the national age-specific  
15 cancer rates. And with a few assumptions I could do  
16 that, yeah.

17           (group discussion ensued)

18           **MR. PARTAIN:** I mean, if that was the perfect world,  
19 perfect survey, a hundred percent participation and  
20 with perfect participation, anyway and there's 100  
21 kidney cancers expected, and we've already  
22 identified 150, well, there's, you know, for our  
23 purposes that's something we can work on and help  
24 collate and get ideas and stuff like that.

25           **DR. BOVE:** I can certainly do that exercise.

**WRAP-UP**

1  
2       **MR. STALLARD:** We need to talk briefly about our  
3 next meeting, and I believe that's going to be in  
4 April, is it? March?

5       **MS. RUCKART:** Yes, well, Christopher Stallard is not  
6 available the entire month of March, so --

7       **MR. STALLARD:** So it can be March. I mean, Lander  
8 did a great job.

9       **MS. RUCKART:** Well, that's true, but I just looked  
10 at options for April because I just went under the  
11 assumption that everybody would want you here.

12       **MR. STALLARD:** The work has to go on. So you all  
13 decide it, and we'll make it work whatever.

14       **MS. RUCKART:** Well, as you know, I sent that e-mail  
15 letting you know that the conference room scheduler  
16 wasn't available until today, so I couldn't even  
17 look at the rooms and consider everybody's  
18 availability, well, Christopher's availability and  
19 internal ATSDR staff. So anyway I've reserved the  
20 room several days in April and wanted to put those  
21 out there now for discussion. April 4<sup>th</sup> is a Monday;  
22 April 5<sup>th</sup>, Tuesday; Monday, the 11<sup>th</sup>; Thursday, the  
23 14<sup>th</sup>; also Tuesday, the 12<sup>th</sup>; and Wednesday, the 27<sup>th</sup>.

24       **MR. FONTELLA:** When is Easter?

25       **MS. RUCKART:** Easter is like the 22<sup>nd</sup>, 24<sup>th</sup>,

1 something like that, but there's the dates that  
2 Morris is unavailable, and then Easter Monday is  
3 like I think the 24<sup>th</sup>. So like that week of Easter I  
4 didn't look at because I kind of thought it's hard  
5 for people to be traveling. So that's why I was  
6 looking at the first and second week, and then I  
7 selected one day the last week but figuring y'all  
8 probably want to meet in the earlier part. I  
9 focused on the first two weeks. So we have Monday,  
10 Tuesday, the 4<sup>th</sup> and 5<sup>th</sup>, and then the second week:  
11 Monday, the 11<sup>th</sup>; Tuesday, the 12<sup>th</sup> and Thursday, the  
12 14<sup>th</sup>.

13 **MR. ENSMINGER:** Fourth and 5<sup>th</sup>.

14 **MR. STALLARD:** I'm actually in town for some of that  
15 time in March, but they're going to schedule me for  
16 something so early April would be --

17 **MR. ENSMINGER:** The 4<sup>th</sup> and 5<sup>th</sup>.

18 **MR. BYRON:** Is this before the study goes out, the  
19 survey?

20 **DR. BOVE:** Yeah, I think now.

21 **MR. ENSMINGER:** What was the 4<sup>th</sup>?

22 **MS. RUCKART:** The 4<sup>th</sup> is a Monday. So that's the  
23 date everyone wants?

24 **MR. FONTELLA:** And that gives us enough time like if  
25 there's anything that we need before the survey goes

1 out, enough time to react to it?

2 **MS. RUCKART:** The survey will start by then, but the  
3 first wave will not even be completed so we'd have  
4 time for --

5 (group discussion ensued)

6 **MR. STALLARD:** Well, I'm humbled that you want me to  
7 be here. Thank you, so it's the 5<sup>th</sup>.

8 **MR. PARTAIN:** If you do, then move the CAP to  
9 Africa.

10 **MR. STALLARD:** Hey, wait. Thank you for bringing  
11 that up. We still need to come up --

12 **MR. ENSMINGER:** Well, I thought Dr. Portier when he  
13 was talking about some other venues, I thought he  
14 was talking about going back to the community where  
15 this thing happened.

16 **MR. STALLARD:** That's been presented before.

17 **MR. PARTAIN:** And we've asked for that.

18 **MR. ENSMINGER:** Well, I mean, let's face it. The  
19 only reason we're meeting here is because Camp  
20 Lejeune, I mean, it was a transient population of  
21 people that are spread out now all over the world.

22 If you were running a regular CAP in a  
23 community, it would be at the community. So this  
24 thing about, what Dr. Portier said about, well, that  
25 brings up the issue of transportation for his staff

1 and all that, well hell, you've got to do that  
2 anyhow in a regular community.

3 **MS. RUCKART:** Right, then we wouldn't be traveling  
4 all of you in. I think maybe he's talking about it  
5 from that perspective. We wouldn't be traveling the  
6 community members in for that meeting.

7 **MR. ENSMINGER:** Oh, yeah. Well, you've got to  
8 travel here.

9 **DR. BOVE:** The reason to have it here is because a  
10 lot of the staff are here. You can get here, as  
11 they said, we can stream it live. Now you could  
12 have it in Washington. You could have it at Camp  
13 Lejeune. You could have it anywhere in the country  
14 for that matter.

15 CAPs that are, in other situations the CAP is  
16 in the community because you want the community to  
17 be involved. You want to actually, you have CAP  
18 members but you leave it open for community members  
19 to come, and some CAP meetings are almost like  
20 public meetings where a lot of people are in  
21 attendance. And the ones at Otis Air Force Base  
22 were not like that, but that was the CAP I  
23 participated in before this.

24 But, I mean, if it's here I don't know if you'd  
25 get more participation if you had it at Camp Lejeune

1 or not. Mike, there certainly was a lot of people  
2 at the Wilmington.

3 **MR. PARTAIN:** How about the Bahamas?

4 **DR. BOVE:** Well, we couldn't stream it live so you'd  
5 lose out on that. Now how many people are listening  
6 in live? At one point staffers were doing that and  
7 certain media people were and that's important. So  
8 there are trade-offs.

9 **MR. FONTELLA:** I think bringing it to the community  
10 and at least giving the community an opportunity to  
11 be there is important. Like Jerry said, we're  
12 spread all over, but Camp Lejeune is North Carolina  
13 being the highest state with registrants and  
14 everything.

15 But there's a lot of people in Jacksonville, in  
16 and around the area, and I think it's important that  
17 we do get out there and do a meeting there. Give  
18 these people the opportunity to come in and say  
19 something or ask questions and participate whereas,  
20 they can't.

21 **DR. BOVE:** That makes sense. I'm almost wondering  
22 if there's yet another mechanism. I'm thinking back  
23 to the Wilmington meeting where the audience really  
24 participated quite a bit. And I think that besides  
25 having a CAP meeting in the area maybe some kind of

1 open session where CAP members are there as well,  
2 and we actually get a lot of questions. That that's  
3 the point of the meeting is to get a lot of  
4 questions and information out to the people who  
5 haven't had a chance to do that.

6 That's not to replace a CAP meeting. That's in  
7 addition, to think about that as well. Because I  
8 thought the Wilmington meeting was useful. There  
9 were a lot of, as I said, we had tons of questions  
10 all over the map, and I think that was good. And  
11 whereas, we have more restricted focus in a CAP  
12 meeting, we do want to get some things done, so we  
13 may want to think of other possibilities.

14 **MR. STALLARD:** That would be a media opportunity  
15 because the community is so widespread.

16 **DR. BOVE:** Yeah, we wouldn't have to worry about  
17 cameras and studio crews.

18 **MR. BYRON:** This is Jeff. I can almost say for sure  
19 that nobody's interested in one in Atlanta outside  
20 of the facility. I don't think we ever had that  
21 intention at all. I think we were thinking closer  
22 to the affected community. I have no interest in  
23 one.

24 **MS. RUCKART:** Well, what Mary Ann just said is that  
25 would be like a public availability session. So

1           like Frank's saying, in addition to CAP meetings.  
2           CAP meetings would be held here. We have a specific  
3           purpose. There's an agenda. It may not really be  
4           of interest to the community at large, but this  
5           other type of format they might be interested in.

6           **DR. BOVE:** It's not quite a public availability  
7           session because I would want actually the CAP  
8           members to control it or at least lead it or  
9           whatever instead of ATSDR. We would be there. It  
10          would be a forum.

11                 I don't like, public availability session I  
12          have some problems with. What I'm trying to express  
13          here is sort of a more open thing where CAP members  
14          are very much involved in the, if not running the  
15          thing.

16          **MR. STALLARD:** Think about the retired community  
17          that might likely come out.

18          **MR. BYRON:** And on two you can probably just put  
19          slash Wilmington, North Carolina. I think you guys  
20          are over there, right? You're up in Wilmington,  
21          too, as well as Jacksonville, aren't you?

22          **MR. STALLARD:** So then what we need to do is sort of  
23          figure out what venue would be appropriate and  
24          whether we're going to do a CAP meeting in  
25          conjunction with that or some other public

1 opportunity for meeting and sharing information.

2 **MR. PARTAIN:** And I would make the suggestion, I  
3 mean, that would be good to do that, like you said,  
4 do a CAP-sponsored thing where we can get out to the  
5 public. It's important we hear from everyone else.  
6 And to get this to kick off we would need to have  
7 help from the Marine Corps in the form of a letter  
8 from ATSDR announcing the meeting going to  
9 registrants informing that this is going to take  
10 place in the community and have the Marine Corps  
11 disseminate that to the 162,000 registrants or the  
12 167,000 registrants.

13 **MR. STALLARD:** So are we talking about like a civic  
14 center full of people? A major, large scale, I  
15 mean, we'd have to plan that in how much of a  
16 response, you know, from 1,000 people or 100 people.  
17 Well, it's an idea.

18 **DR. BOVE:** I'm trying to remember. There was a  
19 pretty good crowd in Wilmington. Tom Sinks was  
20 there.

21 **MR. ENSMINGER:** I was there.

22 **DR. BOVE:** How did they do the outreach for that? I  
23 mean, was it just a newspaper doing that? But  
24 that's an important avenue.

25 **MR. PARTAIN:** Well, Jerry and I have been going out

1 and doing little informational meetings.

2 (group discussion ensued)

3 **MR. PARTAIN:** Frank, Jerry and I have been going out  
4 doing informational meetings, Pittsburgh and what  
5 have you and stuff, and we're going to do one in  
6 January in Florida again. But we did the same  
7 things in the community.

8 I mean, they're frustrated. They don't know  
9 what's going on. They don't have information. When  
10 they call the Marine Corps, they get nothing from  
11 them or go call a lawyer or what have you. And they  
12 want to know what's going on, and they need  
13 information. And when we do the meetings they're  
14 like, oh, my god.

15 And if we can do this with the CAP and do it on  
16 a large scale where we get a bunch of people, I  
17 think it would be very beneficial to the community.  
18 And the community deserves this. I mean, they need  
19 to know what's going on.

20 **DR. BOVE:** That's why I suggested it. Now, we need  
21 to talk more maybe about logistics. I think it  
22 would be good to have it soon because, again, the  
23 survey's going out. I think it's --

24 **MR. PARTAIN:** Maybe that's the way to kick off the  
25 survey. It would be an excellent way to garner

1 participation rates in the survey because you're  
2 going to explain and understand and people will have  
3 an opportunity to find out why it's important that  
4 they participate.

5 **DR. BOVE:** I mean, we need to do this at the end of  
6 the day when we have the water modeling done. When  
7 we have the studies we'd have to do something like  
8 this anyway. But it may be worthwhile to do it  
9 before then.

10 **MR. PARTAIN:** Well, that's something we need to put  
11 on the table and talk about. Because I think the  
12 community, that's something the community really  
13 needs to have.

14 **MR. STALLARD:** And so an idea is born. And that  
15 means that this has to be discussed before April 5<sup>th</sup>.

16 **MR. BYRON:** This needs to be discussed before March  
17 if you want to get them to be there.

18 **MR. STALLARD:** Yeah, just getting the venue alone  
19 takes time.

20 Was there anything else? Any of the CAP  
21 members? Any last closing comments?

22 (no response)

23 **MR. STALLARD:** Thank you all for your participation.  
24 Thank you. Have a safe journey home, and thank you  
25 to the audience for being here and discussing our

1  
2  
3  
4

process.

(Whereupon, the meeting was adjourned at 2:50 p.m.)

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Dec. 9, 2010; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 19th day of January, 2011.

---

**STEVEN RAY GREEN, CCR, CVR-CM, PNSC****CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**

2

3