

**THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES**

**AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY**

convenes the

**SEVENTEENTH MEETING**

**CAMP LEJEUNE COMMUNITY ASSISTANCE**

**PANEL (CAP) MEETING**

SEPTEMBER 22, 2010

The verbatim transcript of the  
Meeting of the Camp Lejeune Community Assistance  
Panel held at the ATSDR, Chamblee Building 106,  
Conference Room B, Atlanta, Georgia, on Sept. 22,  
2010.

**STEVEN RAY GREEN AND ASSOCIATES  
NATIONALLY CERTIFIED COURT REPORTING  
404/733-6070**

C O N T E N T S

Sept. 22, 2010

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS LANDER STODDARD	5
WELCOME FROM DIRECTOR NCEH/ATSDR DR. CHRISTOPHER PORTIER	9
CAP UPDATES/COMMUNITY CONCERNS LANDER STODDARD AND CAP MEMBERS	24
RECAP OF PREVIOUS CAP MEETING PERRI RUCKART	40
CAP GOVERNANCE PERRI RUCKART, FRANK BOVE	58
WATER MODELING UPDATE MORRIS MASLIA	79
VA DISCUSSIONS TERRY WALTERS, BRAD FLOHR	118
DATA MINING WORKGROUP SVEN RODENBECK	156
NRC REPORT FRANK BOVE, MORRIS MASLIA	171
UPDATES ON STUDIES: MORTALITY STUDY, HEALTH SURVEY, MALE BREAST CANCER FRANK BOVE, PERRI RUCKART	178
WRAP-UP LANDER STODDARD	235
ADJOURN	265
COURT REPORTER'S CERTIFICATE	266

**TRANSCRIPT LEGEND**

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis ( . . . ) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- "^" represents inaudible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

BOVE, FRANK, ATSDR  
BRIDGES, SANDRA, CAP, CLNC (via telephone)  
BYRON, JEFF, COMMUNITY MEMBER  
CLAPP, RICHARD, SCD, MPH, PROFESSOR (via telephone)  
ENSMINGER, JERRY, COMMUNITY MEMBER  
FLOHR, BRADLEY, VA  
FONTELLA, JIM, COMMUNITY MEMBER  
MASLIA, MORRIS, ATSDR  
MENARD, ALLEN, COMMUNITY MEMBER (via telephone)  
PARTAIN, MIKE, COMMUNITY MEMBER  
PORTIER, DR. CHRISTOPHER, DIRECTOR NCEH/ATSDR (via telephone)  
RUCKART, PERRI, ATSDR  
SIMMONS, MARY ANN, NAVY AND MARINE CORPS PUBLIC HEALTH CENTER  
SINKS, DR. TOM, NCEH/ATSDR  
TOWNSEND, TOM (via telephone)  
WALTERS, DR. TERRY, VA

1

**P R O C E E D I N G S**

(9:00 a.m.)

**WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS**

2

**MR. STODDARD:** Good morning, everybody. My name is Lander Stoddard. I've been asked to facilitate this meeting. Christopher Stallard sends his greetings. He is not able to be here because he's at a meeting in South Africa as part of his regular job. So normally he just does this on the side.

9

I met with Chris and Perri and Frank last week, and they did their best to get me up to speed, and I hope you'll bear with me if I miss some of the background on this.

10

The purpose of the CAP and this meeting is to facilitate participation of the Camp Lejeune community in ATSDR's health activities regarding Camp Lejeune. So any questions about the purpose of the meeting?

18

(No responses)

19

Okay. And this is for the benefit of the advisory panel. You guys know what's going on. We have an audience and we have an audience over the Internet. The CAP is not an official

20

21

22

1                   advisory committee and hence is not subject to  
2                   FACA; i.e. the CAP cannot provide consensus  
3                   recommendations.

4                   This meeting is being broadcast live to anyone  
5                   who wants to watch it via streaming video and  
6                   audio on the Internet. The URL is posted on  
7                   ATSDR's website.

8                   In the room we have the Community Assistance  
9                   Panel, seated at the table, and their invited  
10                  participants. We also have an audience of  
11                  observers. Members of the audience are asked  
12                  to remain silent unless a member of the panel  
13                  asks for information.

14                  We also have a recorder at the table, and at  
15                  this point we're requesting that everyone sign  
16                  in -- there's a sign-in sheet at the  
17                  background; if you haven't done so, please do  
18                  so -- and that everyone silence their  
19                  electronics at this time.

20                  Okay. In a moment I'm going to go over the  
21                  agenda, the guiding principles and  
22                  announcements, but first let's do introductions  
23                  around the table, and we'll get to the people  
24                  on the phone after we cover the people in the  
25                  room. For the benefit of the recorder and the

1                   people on -- remotely watching, please remember  
2                   to push the red button on your microphone  
3                   before you speak. So if you'll give us your  
4                   name, the organization you represent and the  
5                   role you play in that organization -- who'd  
6                   like to start?

7                   **MR. ENSMINGER:** My name's Jerry Ensminger. I'm  
8                   a member of the Camp Lejeune CAP. That's it.

9                   **MR. STODDARD:** And you're representing?

10                  **MR. ENSMINGER:** The affected community.

11                  **MR. STODDARD:** Okay. Thank you.

12                  **MS. RUCKART:** Perri Ruckart, ATSDR, Division of  
13                  Health Studies.

14                  **DR. BOVE:** Frank Bove, ATSDR, Division of  
15                  Health Studies.

16                  **MS. SIMMONS:** Mary Ann Simmons, Navy/Marine  
17                  Corps Public Health Center.

18                  **MR. BYRON:** Jeff Byron, member of the CAP,  
19                  representing the community and my family.

20                  **MR. PARTAIN:** Mike Partain, member of the CAP,  
21                  representing the affected community.

22                  **MR. STODDARD:** Thank you. And let's get to the  
23                  people on the phone. Do we have Allen Menard  
24                  on the phone?

25                  **MR. MENARD (by Telephone):** Yes, you do. My

1                   name's Allen Menard. I'm part of the CAP and I  
2                   -- I'm here for the affected community.

3                   **MR. STODDARD:** Okay, thank you. Do we have  
4                   Dick Clapp on the phone?

5                   **DR. CLAPP (by Telephone):** Yes. I'm an  
6                   epidemiologist at Boston University School of  
7                   Public Health and a consultant to the CAP.

8                   **MR. STODDARD:** Thank you. Do we have Sandra  
9                   Bridges on the phone?

10                  (No response)

11                  **MR. STODDARD:** Not yet. Do we have Tom  
12                  Townsend on the phone?

13                  **MR. TOWNSEND (by Telephone):** Yes, Tom  
14                  Townsend. I'm a CAP member representing the  
15                  Camp Lejeune community.

16                  **MR. STODDARD:** Thank you. Do we have Devra  
17                  Davis on the phone?

18                  (No response)

19                  **MR. STODDARD:** Not yet. Okay, we're scheduled  
20                  at 9:05 to have Dr. Portier, the new Director  
21                  of the National Center for Environmental Health  
22                  and ATSDR join us. He's in New York City  
23                  attending a meeting. Dr. Portier, are you on  
24                  the phone?

25                  **DR. PORTIER (by Telephone):** Yes, I am. Good

1 morning.

2       **MR. STODDARD:** Okay. So we'd like to give you  
3           a few minutes to address the group, and then  
4           we'll have a few minutes for Q&A. Before I do  
5           that, we have somebody else who just walked in  
6           and sat at the table.

7           Would you give us your name, your organization  
8           and what role you play in that organization?

9       **DR. SINKS:** I'm Tom Sinks. I'm the Deputy  
10           Director NCEH ATSDR.

11       **MR. STODDARD:** Thank you. Dr. Portier?

12       WELCOME FROM DIRECTOR NCEH/ATSDR

13       **DR. PORTIER (by Telephone):** Yes, good morning,  
14           everyone. I'm sorry I can't be there in  
15           Atlanta this morning. It would be great to  
16           meet you all. I did meet a few of you last  
17           week at the Congressional hearing in Washington  
18           and that was very nice.

19           I don't have a lot to tell you today. I'm  
20           certainly 100 percent behind the health studies  
21           that ATSDR is doing at Camp Lejeune. I've  
22           spent a considerable amount of time in the last  
23           (break in telephone transmission) I've been  
24           here coming up to speed on not just Camp  
25           Lejeune, but all the other issues. But I've

1                   spent a particular amount of time on Camp  
2 Lejeune because it's such an impressing issue.  
3 I have every confidence that the staff that we  
4 have working on this at ATSDR will do an  
5 excellent job and succeed at bringing forth  
6 solid scientific evidence to give us some  
7 guidance on what potential there is for helping  
8 vets at Camp Lejeune.

9 I don't want to take up a lot of your time with  
10 a long formal speech, so I'll simply end there  
11 and welcome any questions you may have.

12                  **MR. STODDARD:** Does anyone have a question for  
13 Dr. Portier?

14                  **MR. ENSMINGER:** Yes, I do. Dr. Portier, this  
15 is Jerry Ensminger. At the Congressional  
16 hearing you voiced your concerns and opinions  
17 of the NRC report, along with Dr. Clapp. Is it  
18 -- are we to believe that this agency is  
19 finally going to put out a strong rebuttal to  
20 that NRC report? And I ask this question  
21 because basically right now there are a whole  
22 bunch of veterans that are being denied their  
23 benefits via the VA based upon a bunch of the  
24 fallacies that are in that NRC report. And  
25 these folks have waited long enough for their

1           benefits, and to have them being shot down by a  
2           obviously flawed report and biased report, we  
3           need something in writing soon -- sooner than  
4           later. That's -- that's what I had to say.

5           **DR. PORTIER (by Telephone):** Thank you, Jerry,  
6           I -- I'll look into it. I hope we have  
7           communicated that fairly (break in telephone  
8           transmission) certainly (break in telephone  
9           transmission) interesting. I made it  
10          absolutely clear that our actions speak much  
11          louder than our words. We would not be going  
12          to all this trouble of doing these studies and  
13          following up on this if we didn't believe that  
14          there was reason to be doing that, which is in  
15          -- quite a bit in contrast to what the Academy  
16          says. And as I said at the hearing, I firmly  
17          agree with what Clapp and his (break in  
18          telephone transmission) said, basically that  
19          we're confused as to how the Army reached the  
20          decisions they reached, and we certainly do  
21          disagree with them. But I'll look and see  
22          because I thought we had communicated this  
23          fairly clearly to the VA, but we will follow up  
24          and I'll -- I'll (break in telephone  
25          transmission) see what we can do.

1 MR. ENSMINGER: Thank you.

2                   **MR. STODDARD:** Okay, other questions for Dr.  
3                   Portier?

4 (No responses)

5                   **MR. STODDARD:** I don't see anybody moving to  
6                   the mic in the room. Dr. Portier?

7 DR. PORTIER (by Telephone): Well, thank you  
8 very much. I'm going to stay on the line and  
9 listen probably till 9:30 or so, so if there  
10 are any additional questions, pipe up and I'll  
11 be here, hopefully.

12                   **MR. STODDARD:** Thank you very much. Oh, are  
13                   there -- is there anybody on the phone that has  
14                   a question?

15 (No responses)

16                   **MR. STODDARD:** Not hearing any -- okay. All  
17                   right, at this point --

18 MS. RUCKART: Wait a minute --

19 DR. BOVE: Wait a minute --

20 MR. STODDARD: Pardon?

21 DR. SINKS: You've got a list there for  
22 actions, and I wondered if you want to just put  
23 that down as an action that we're going to do,  
24 which is to look at Jerry's request, so we  
25 don't lose that.

1           **MR. STODDARD:** Thank you. So the action is to  
2           follow up on --

3           **MR. ENSMINGER:** ATSDR's rebuttal to the NRC  
4           report.

5           **MR. STODDARD:** ATSDR -- rebuttal?

6           **MS. RUCKART:** Response.

7           **MR. STODDARD:** -- response?

8           **MR. ENSMINGER:** I like rebuttal.

9           **MR. STODDARD:** I'll capture Jerry's word and  
10          you can change it later.

11          **MR. ENSMINGER:** You can put flawed NRC report.

12          **MR. STODDARD:** I have the NRC report.

13          **DR. PORTIER (by Telephone):** Yeah, the -- this  
14          is Chris Portier -- the action item would be  
15          that we will follow up on our communications  
16          with Veterans Administration and make sure they  
17          clearly understand that we disagree with the  
18          Academy report.

19          **MR. STODDARD:** Okay. So since Dr. Portier's  
20          taken that on, we'll take what he's actually  
21          committed to do.

22          All right, did somebody join us on the phone  
23          since we did introductions?

24          **MS. BRIDGES (by Telephone):** Yes, this is  
25          Sandra Bridges.

1           **MR. STODDARD:** Okay, Sandra, could you tell us  
2           what organization you work with and who you  
3           represent and what role you play?

4           **MS. BRIDGES (by Telephone):** The Camp Lejeune  
5           CAP.

6           **MR. STODDARD:** Okay. So you're representing  
7           the community?

8           **MS. BRIDGES (by Telephone):** The community, uh-  
9           huh.

10          **MR. STODDARD:** Thank you.

11          **MS. BRIDGES (by Telephone):** The family  
12          community, yes.

13          **MR. STODDARD:** Okay, thank you.

14          **MS. BRIDGES (by Telephone):** Thank you.

15          **MR. STODDARD:** All right. At this point  
16          there's -- there's an opening for a committee  
17          member -- a community member on the panel, and  
18          the panel has nominated Jim Fontella. Is that  
19          right?

20          **MR. FONTELLA:** Yes, sir.

21          **MR. STODDARD:** And Jim, if you could come to  
22          sit at the table over here, and if you would  
23          tell us -- give us your background and describe  
24          how you can contribute to the CAP and what  
25          segment of the population you represent.

1           **MR. FONTELLA:** My name is Jim Fontella. I am a  
2 male breast cancer survivor. I was diagnosed  
3 12 years ago, had a recurrence 10 years ago. I  
4 was notified by Mike Partain in 1998, in  
5 November --

6           **MR. ENSMINGER:** 2000.

7           **MR. FONTELLA:** 2000, yeah, right. Well --  
8 glass of wine there. But -- and this is how I  
9 found out about the Camp Lejeune situation. I  
10 have been active behind the scenes now for  
11 maybe close to a year and a half, investigating  
12 documents and the disks and locating data and  
13 things that could help us come to a conclusion.  
14 And basically I'm going to be an active CAP  
15 member -- very active -- and hope to see this  
16 thing to -- to the end, and a positive end on  
17 the community's part. And I don't know what  
18 else to say actually.

19           **MR. STODDARD:** Okay. Any questions for Jim?  
20 Tom?

21           **DR. SINKS:** Could you describe your experience  
22 at Camp Lejeune? Were you a Marine there?

23           **MR. FONTELLA:** I was at Camp Lejeune. I was  
24 rotated there in 1966, February, right from  
25 Viet Nam. I was a .81 mortar man and my

1           experiences while I was in infantry -- I was in  
2           infantry for four years, and to be honest with  
3           you, the -- Viet Nam was pretty traumatic for  
4           me and really the only safe place I felt at  
5           that time -- because America was kind of angry  
6           with us coming home, for whatever reasons --  
7           and it was the only place I really felt safe,  
8           and I had no idea at that time that the water  
9           was bad and that all these people were going to  
10          be affected later on in life. But I'm a proud  
11          Marine and I still -- I wear Marine clothes all  
12          the time. I've got probably 20 shirts and  
13          shorts and everything I do and I'll never  
14          forget my service to my country. It was the  
15          proudest -- one of the proudest times of my  
16          life and actually turned me around as a young  
17          Detroit thug, so to speak.

18          **MR. STODDARD:** Tom, does that answer your  
19          question?

20          **DR. SINKS:** Can you tell me when you got there?

21          **MR. FONTELLA:** Yes, sir, I was -- I arrived at  
22          Camp Lejeune in February of 1966 and I was  
23          there for 14 months. I rotated out of there  
24          and was discharged honorably in April of 1967,  
25          14 months.

1                   **MR. STODDARD:** Okay.

2                   **MR. PARTAIN:** And this is Mike Partain. I just  
3                   want to point out something about Jim, too.  
4                   He's also BRCA-1 and -2 negative. He was  
5                   tested like -- like several of us, and no  
6                   family history of breast cancer. Correct, Jim?

7                   **MR. FONTELLA:** Well, I do have some family  
8                   history of breast cancer and other cancers. I  
9                   was tested for the BRAC-1 and -2, and the  
10                  report that came back to me, because I was  
11                  negative for mutated genes, they said -- and  
12                  almost the exact wording is if the cancers in  
13                  my family were probably caused -- likely caused  
14                  by chance or other sources that said --  
15                  environmental exposures, which this was in 2001  
16                  -- I just found that report just about a month  
17                  or so ago and was shocked at -- because at the  
18                  time when I got the report, I really had no  
19                  idea what environmental exposures were. I  
20                  didn't know what Benzene was or vinyl chloride  
21                  or anything. It's been a -- an educational  
22                  experience for me in the last year, finding all  
23                  this stuff out, really -- and learning all this  
24                  stuff.

25                   **MR. STODDARD:** Okay. Thank you.

1           **MR. FONTELLA:** Thank you.

2           **MR. TOWNSEND (by Telephone):** Tom Townsend  
3           here. I have a quest-- a comment.

4           **MR. STODDARD:** Go ahead, Tom.

5           **MR. TOWNSEND (by Telephone):** I'm pleased to  
6           have Jim aboard. I lived at Camp Lejeune at  
7           the same time and at that -- at that point in  
8           time I lost my son, so I -- I find it -- I find  
9           it -- I find it sort of disheartening that  
10          another Marine got whacked at Camp Lejeune with  
11          the same thing.

12          **MR. STODDARD:** Jeff?

13          **MR. BYRON:** This is Jeff Byron. BRC-1 is what?  
14          Can you explain that for the audience? Thank  
15          you.

16          **MR. FONTELLA:** Sure. The BRAC-1 gene is a  
17          mutated gene that's found in many breast cancer  
18          victims. The BRAC-2 is the main gene that's  
19          usually found in males. That was the gene I  
20          tested for first. I had been out of employment  
21          for some time. I had no -- my disability had  
22          run out, and they were testing genes at that  
23          time one at the time. I think they test them  
24          all at once now, but -- so it cost me \$200 so I  
25          tested for the BRAC-2, which would have been --

1           my surgeon said that probably the gene that she  
2           thought actually that I had, and then I tested  
3           negative for that. And then later, in 2001, is  
4           when I tested for the BRAC-1. And just to make  
5           a point, the reason I tested for these genes  
6           mainly was for my family, for my children -- I  
7           have three daughters. And just -- just to make  
8           a statement here, and I think this is why I  
9           believe that the Marine Corps should have  
10           stepped up, because we would have had a chance  
11           to monitor ourselves. And that was my position  
12           with my daughters is they could have, even as  
13           young women, monitored themselves to catch  
14           something if something was there. Turns out  
15           that I was negative. And also my youngest  
16           sister tested for the genes as well and -- just  
17           to support that -- and she tested negative as  
18           well for that.

19           **MR. STODDARD:** Thank you. Any other questions  
20           from the telephone audience -- or telephone  
21           participants?

22           **MR. ENSMINGER:** I have just one comment, and  
23           this is about Jim. Jim became involved in this  
24           situation last year and he really dove into  
25           this stuff. I mean he is one of the few people

1 that really dove into these documents and these  
2 disks. I mean he has become a wealth of  
3 information. He is one heck of a -- a support  
4 for all of us when we were looking for  
5 information, looking for documents and trying  
6 to put different things together, and he will  
7 be a great CAP member. So welcome, Jim.

8 | MR. FONTELLA: Thank you very much.

9                   **MR. STODDARD:** Thank you, Jerry. Any other  
10                  questions?

11                   **DR. BOVE:** I'd just like to say welcome, too,  
12                   and I know you've been doing a lot of hard  
13                   work. I've been getting e-mails from you and  
14                   Jerry and Mike and Jeff constantly about going  
15                   through these documents and they're a big help  
16                   to us.

17                   **MR. STODDARD:** Okay. So I'd ask now if you --  
18                   if the CAP accepts this nomination and ready to  
19                   welcome Jim as a member of the board, that you  
20                   would applaud.

21 (Applause)

1 getting a little feedback on that.

2 And have we had anybody else join us on the  
3 phone? I heard a couple of beeps, thinking  
4 somebody might have joined us on the phone  
5 since we did introductions. Has anybody joined  
6 us?

7 | (No response)

8                   **MR. STODDARD:** Okay, apparently not. The  
9                   agenda for the day -- everybody should have a  
10                  copy of the printed agenda. Does anybody not  
11                  have a copy of the agenda? For the members of  
12                  the audience they're available in the back.  
13                  The times on the agenda are approximates. The  
14                  only fixed times we have are 1:00 o'clock when  
15                  Sven will report in, and we will finish at 3:00  
16                  o'clock, or before.

17 Any questions about the agenda?

18 | (No response)

19                   **MR. STODDARD:** Okay. I'd like to ask, is  
20                   anyone expecting a call that will take you out  
21                   of the room?

22 | (No response)

23                   **MR. STODDARD:** No? Okay. And we're scheduled  
24                   to go until 3:00. Does anybody need to leave  
25                   before then?

1 (No response)

2                   **MR. STODDARD:** Looks like everybody's in for  
3                   the long haul.

4 DR. CLAPP (by Telephone): I will have to leave  
5 at -- later this morning.

6 MR. STODDARD: Okay. I'm sorry, who was that?

7 DR. CLAPP (by Telephone): Dick Clapp.

8 | MR. STODDARD: Okay. Thank you, Dick.

9 DR. CLAPP (by Telephone): About 11:15.

10           **MR. STODDARD:** All right. I have some  
11           organizing tools that I like to use when I'm  
12           doing a meeting. The first is a bike rack.  
13           Some of you have probably been in meetings  
14           where you had a parking lot, so we like to --  
15           it's become sort of de rigueur around CDC to  
16           not have a sedentary lifestyle, so I have a  
17           bike rack. And if anything comes up that's not  
18           on the agenda, I'm going to ask you if I can  
19           put it up here and we'll hold it -- onto it  
20           until the end of the meeting. Okay?  
21           I've got a board up here for suggestions, one  
22           for actions, and Christopher told me that y'all  
23           have some guiding principles that you use in  
24           your meetings, some ground rules. I was  
25           wondering if you could tell me what those are.

1           Can somebody tell me what your guiding  
2           principles are? What your ground rules are?  
3           How you play and work together?

4           **MR. ENSMINGER:** One speaker at a time.

5           **MR. STODDARD:** One speaker at a time. Okay,  
6           what else?

7           **MR. ENSMINGER:** No personal attacks.

8           **MR. STODDARD:** No personal attacks. Okay.  
9           What else?

10          **MR. ENSMINGER:** That's about all I remember.

11          **MR. STODDARD:** Anybody else remember any?

12          **MR. ENSMINGER:** Announce who you are when  
13           you're speaking. This is Jerry Ensminger.

14          **MR. STODDARD:** Thank you, Jerry. Announce name  
15           when speaking. What else?

16          **MR. MENARD (by Telephone):** And whatever the  
17           Marine Corps says, will happen.

18          **MR. STODDARD:** I'm sorry, who was that?

19          **MR. MENARD (by Telephone):** This is Allen.

20          **MR. STODDARD:** Okay. So was that in jest,  
21           Allen?

22          **MR. MENARD (by Telephone):** What's that?

23          **MR. STODDARD:** Was that in jest?

24          **MR. MENARD (by Telephone):** That was in jest.

25          **MR. STODDARD:** Okay, thank you.

1                   **MR. MENARD (by Telephone):** That was speaking  
2 from (indiscernible).

3                   **MR. STODDARD:** Anything else in terms of  
4 guiding principles, ground rules, how you play  
5 together?

6   (No response)

7                   **MR. STODDARD:** All right, super.

8                   CAP UPDATES/COMMUNITY CONCERNS

9                   All right, we're ready now for updates and  
10 community concerns, and we'll go around the  
11 table, one at a time. I'd like to start with  
12 people on the phone, so if -- and this is --  
13 this is a brief update. This is not a long  
14 presentation. So Devra, do you have something  
15 that you need to share with the group?

16                   **MR. ENSMINGER:** She's not on the phone.

17                   **MR. STODDARD:** Just checking, thanks. Tom?

18                   **MR. TOWNSEND (by Telephone):** Yeah, Tom  
19 Townsend here. I'm still in -- very -- very  
20 confused contact with the Veterans  
21 Administration on -- on their -- on their work,  
22 and I'm -- I'm -- I personally am interested in  
23 the -- the connections between the Camp Lejeune  
24 chemical contamination of the 1960s and  
25 neuropathy which I'm -- I'm struggling with.

1 I'm pleased that there's been progress on the  
2 CAP -- CAP and the ATSDR's going forward.  
3 Thanks

**MR. STODDARD:** Not there. Richard -- Dick?

7                   **DR. CLAPP (by Telephone):** Yeah, this is Dick  
8                   Clapp. I just wanted to make sure there'll be  
9                   more discussion on this. Chris Portier already  
10                  mentioned that there were Congressional  
11                  hearings last week that I think were a step  
12                  forward for all of us, and the veterans and  
13                  Mike Partain were particularly eloquent, I  
14                  thought, at the meeting. Maybe we'll talk more  
15                  about it later.

16 One other thing is that as a result of these  
17 kinds of meetings I always get e-mails from  
18 either Marines or family members saying can you  
19 help me with my claim. And I've gotten a  
20 couple since last week and at some point I'd  
21 like to pass that along or discuss that.

22                   **MR. STODDARD:** Okay. Do we want to add that to  
23                   the agenda, or what do you want to do with  
24                   that?

25 DR. CLAPP (by Telephone): Well, I think

1                   there's a full agenda today. Maybe next  
2                   meeting?

3                   **MR. STODDARD:** Okay. All right. Thank you,  
4                   Dick.

5                   **MR. BYRON:** Is that the bike rack?

6                   **MR. STODDARD:** Yes.

7                   **DR. SINKS:** Dick, is that an issue you wanted  
8                   to bring up to the VA? I mean you're talking  
9                   about claims specifically and not about the  
10                  science we're doing, so we had -- I think maybe  
11                  a VA rep's coming later. Is that something to  
12                  bring up to them when they're here?

13                  **DR. CLAPP (by Telephone):** No, not really.  
14                  It's really a question of getting the nexus  
15                  letters together for people, and people are  
16                  asking me stuff that I'm not an expert in and I  
17                  need to have some way of referring them. I  
18                  actually do -- I have been doing that, but I'd  
19                  like it a little more formal and public.

20                  **MR. STODDARD:** Okay. So the action is how to  
21                  pass on vets' requests for assistance from the  
22                  VA?

23                  **DR. CLAPP (by Telephone):** Yes, but it's to the  
24                  veterans themselves to put together in their  
25                  packet, not necessarily to the VA.

1           **MR. STODDARD:** Okay, packets. Thank you. Yes,  
2           Tom?

3           **DR. SINKS:** Dick, I'm going to ask you again --  
4           it's Tom -- do you see that as a role for  
5           ATSDR?

6           **DR. CLAPP (by Telephone):** No, not  
7           particularly, but it's for the CAP.

8           **DR. SINKS:** For the CAP, okay.

9           **MR. FONTELLA:** Dr. Clapp, Jim Fontella. I'd be  
10          happy to volunteer in that respect. If you  
11          wanted to e-mail me, I could see that that --  
12          I'd get that information to you and I could  
13          help them -- give them an idea what a well-  
14          grounded claim is and tell them what the  
15          procedures are that they'll face and what  
16          they'll need for evidence, both medical and  
17          evidence for the contamination. I'd be happy  
18          to do that, if that's what you're looking for.

19           **DR. CLAPP (by Telephone):** Great. Well,  
20          sometimes it's that. Usually it's 'I need a  
21          neurotoxicologist; can you name somebody?'

22           **MR. FONTELLA:** No, sir, I'm a bricklayer and if  
23          you want me to set some tile for you, I'd be  
24          happy to do that, but other than that I can't  
25          help you in that.

1           **DR. CLAPP (by Telephone):** Actually I could use  
2            a little help --

3           **MR. FONTELLA:** I want to withdraw that.

4           **MR. STODDARD:** Dick, if you could speak up a  
5            little bit or get closer to your mic, the  
6            recorder's having a little difficulty hearing  
7            you.

8           **DR. CLAPP (by Telephone):** Okay.

9           **MR. STODDARD:** Thank you. Anything else, Dick?

10          **DR. CLAPP (by Telephone):** No.

11          **MR. STODDARD:** Okay. Allen?

12          **MR. MENARD (by Telephone):** Yes, I'm in the  
13          process of -- I just got in touch with somebody  
14          from Louisiana that was at Camp Lejeune from  
15          '82 to '84. He has non-Hodgkin's lymphoma. He  
16          has actually two of them. He has mantle cell  
17          and he also has follicular lymphoma, and he's  
18          not doing good and I'm in the process of trying  
19          to help him out. I just got ahold of him the  
20          other day and I'm working to help him out on  
21          his claim.

22          **MR. STODDARD:** Okay, thank you. Anything else?  
23          Okay, Jerry?

24          **MR. ENSMINGER:** Yes. Well, I see we have the  
25          CAP updates or -- no, the -- down here at 9:45

1           on our agenda for the CAP governance, but I  
2           looked through this handout -- draft handout  
3           about CAP governance and I see that there's  
4           nothing listed on the CAP governance about this  
5           policy by the CDC to not allow press or media  
6           cameras at these meetings. And this is a --  
7           this is a real sticking point with me. It  
8           should be with everybody.

9           **MR. STODDARD:** Is there -- I assume you're  
10          bringing this up now because Dr. Portier's on  
11          the phone?

12          **MR. ENSMINGER:** Yes, I am.

13          **MR. STODDARD:** Are you looking for a response  
14          from him?

15          **MR. ENSMINGER:** Not necessarily. I just want  
16          to air out my thoughts on this and -- you know,  
17          this is the Community Assistance Panel. The  
18          only reason that these concerns came up in the  
19          first place was because there were members of  
20          the Department of Navy and the Marine Corps who  
21          were in the audience who did not want to be  
22          shown on camera. Well, my advice to them is if  
23          they don't want to be seen on camera, then they  
24          don't need to attend these public meetings.  
25          These are public meetings -- supposedly public,

1 accessible to the public and the media. And  
2 these restrictions that are being placed on  
3 cameras at these meetings are unacceptable.  
4 They do not fall in line with this present  
5 administration's policy on transparency and  
6 openness of our federal government. In my  
7 opinion they're a violation of our  
8 Constitutional rights. And for God's sake, at  
9 the last meeting we had armed police officers  
10 here as a show of force to try to intimidate  
11 the CAP.

12 Now I think that's a little extreme. Most of  
13 us on this Community Assistance Panel served  
14 our country to stop that kind of activity and  
15 make sure that that doesn't happen in this  
16 country.

17 **MR. STODDARD:** Jerry, could we --

18 **MR. ENSMINGER:** No, I'm not done yet.

19 **MR. STODDARD:** Well, we'd (unintelligible) --

20 **MR. ENSMINGER:** I'm not done yet. I'm not done  
21 yet.

22 **MR. STODDARD:** Okay.

23 **MR. ENSMINGER:** What really burns me up is the  
24 CAP was formed to voice the concerns of the  
25 affected community, and not for the primary

1                   responsible party, or the PRP. And in the past  
2                   there has been more attention paid to the  
3                   Department of Navy and Marine Corps' concerns  
4                   than have been the community -- the affected  
5                   community at these meetings, and I'm tired of  
6                   it.

7                   **MR. MENARD (by Telephone):** Jerry, can I add  
8                   one thing to what you just said? I would like  
9                   to know from Mary Ann Simmons why the Marine  
10                  Corps is against having cameras in the CAP --  
11                  in the CAP area there, our meeting, if -- I  
12                  mean if they don't have nothing to hide, what  
13                  should be the problem with them not wanting  
14                  them there? And I would like an answer from  
15                  the Marine Corps representative, please.

16                  **MR. STODDARD:** Who was that speaking on the  
17                  phone?

18                  **MR. MENARD (by Telephone):** This is Allen  
19                  Menard.

20                  **MR. STODDARD:** Hey, Allen. We're going to  
21                  discuss this in full. We have a slot on the  
22                  agenda for governance and we're going to  
23                  discuss this in full at that time. I'd like to  
24                  give Dr. Portier an opportunity to hear from  
25                  the other members of the CAP before he has to

1           leave the phone. So could we put this  
2           conversation off until we get to the governance  
3           section of the agenda?

4           **MR. MENARD (by Telephone):** That's fine with  
5           me, but I'd like an answer from her.

6           **MR. STODDARD:** Okay, we -- I know she's heard  
7           the question. She'll be prepared to respond.  
8           Okay, could -- Jerry, can we let some other  
9           members of the CAP pitch in?

10          **MR. ENSMINGER:** Sure.

11          **MR. STODDARD:** Tom, did you have anything you  
12           want to say?

13          **MR. TOWNSEND (by Telephone):** No, nothing else  
14           for me.

15          **MR. STODDARD:** Okay. Jim?

16          **MR. FONTELLA:** Jim Fontella. I've been into  
17           the disks from the portal that we just received  
18           and I've found several -- I think that I have,  
19           just that I've found so far -- six files that  
20           pertain to air intrusion in the 1100 area and  
21           the 1200 area, and that's some pretty telling  
22           information that hopefully we'll have some time  
23           to discuss today. I have the number of the  
24           files. Vapor intrusion, what did I say?

25          **MR. ENSMINGER:** Air.

1                   **MR. FONTELLA:** Air intrusion -- vapor  
2                   intrusion, I'm sorry. But I'd like to go over  
3                   that if we -- when we have time a little later,  
4                   and basically that's it for me.

5                   **MR. STODDARD:** Thank you. Jeff?

6                   **MR. BYRON:** This is Jeff Byron. You know, my  
7                   concerns are basically just general. Number  
8                   one, why is it taking so long to get these  
9                   studies done? Well, I really know the answer  
10                  to that is because of the delay by the DoD as  
11                  far as documentation. Concern has been four  
12                  reports were written that there's -- basically  
13                  the investigative individuals didn't do their  
14                  job. I don't think they should get paid.  
15                  Matter of fact, they probably should be fired -  
16                  -- okay? -- as far as the GAO report, the  
17                  criminal EPA investigation, the NRC report  
18                  which is now also in question, public health  
19                  assessment -- I mean I haven't seen a credible  
20                  report come out of here. And to be honest with  
21                  you, I'm skeptical that one won't come out of  
22                  this office. So I'm the skeptic of the group,  
23                  might as well be known.

24                  **MR. STODDARD:** Okay. Thank you, Jeff.

25                  **MR. PARTAIN:** This is Mike Partain, echoing

1           Jim's comment about building 1101 and the 1200  
2           series vapor intrusion. We've actually talked  
3           to people when Jerry and I have gone out to  
4           different states, and one lady in particular  
5           was not exposed to the drinking water  
6           contamination, but worked in this building and  
7           has a benzene-attributable disease, multiple  
8           myeloma, if I remember correctly. So that is a  
9           concern for a possible pathway -- I mean vapor  
10          intrusion -- and we have an exposed population  
11          there. And ATSDR, as far as I know, is not  
12          talking about that, so that's something I'd  
13          like to see done and discussed.

14          As far as the CAP, we're currently trying to  
15          identify some of the other cancer clusters that  
16          we're seeing. You know, we've talked a lot  
17          about the male breast cancer cluster and I  
18          stress every time that we talk about it that  
19          male breast cancer is not the only cancer we're  
20          seeing out of Camp Lejeune in quantities; there  
21          are others. Kidney cancer, for example, which  
22          is one of the cancers that is strongly  
23          associated with PCE and TCE exposure. We have  
24          quite a few kidney cancers on our website and  
25          we're working to identify those people and

1 compile their information like we've done with  
2 the male breast cancers.

3 And also that we continue to work on the  
4 documents. We recently got a redacted version  
5 of the Navy's UST portal that -- that no one  
6 knew about except for the Navy. And also still  
7 continue to be concerned about the fact that  
8 we've been left out of the document mining  
9 program that's ongoing between ATSDR and the  
10 Navy. And I understand that we're doing these  
11 phone call updates after the meeting, but  
12 having a body there, live and in person and  
13 being actually a part of that, is important and  
14 I will continue to bring this concern up every  
15 time we have a meeting here.

16                   **MR. STODDARD:** Okay, thank you, Mike. Mary  
17                   Ann?

18 MS. SIMMONS: I have nothing to add.

19                   **MR. STODDARD:** Okay. Frank? You guys will  
20                   wait? Okay.

21 Anything else from -- has Sandra or Devra  
22 joined us yet?

23 (No response)

24                   **MR. STODDARD:** Okay. That completes the  
25 updates from the community, and now we move on

1                   to -- Perri, are you going to give us a recap  
2                   of the previous meeting?

3                   **MS. RUCKART:** I just want to make sure that Dr.  
4                   Portier will have a chance to say anything he  
5                   wants to say.

6                   **MR. STODDARD:** Okay. Dr. Portier, are you  
7                   still on the phone?

8                   **DR. PORTIER (by Telephone):** Yes, I am.

9                   **MR. STODDARD:** Okay. Is there anything you'd  
10                  like to say in response to what you've heard so  
11                  far?

12                  **DR. PORTIER (by Telephone):** Well, it was -- it  
13                  was very interesting to hear concerns of the  
14                  community, especially as it related to the  
15                  vapor that I think I'm going to have to talk  
16                  with my staff about and see (indiscernible) any  
17                  possible under that condition. We certainly  
18                  are aware of the fact that there are a large  
19                  number of potential cancers besides male breast  
20                  cancer from some of the exposures we're looking  
21                  at at Camp Lejeune, and we definitely intend to  
22                  follow up and look at those carefully at all of  
23                  this.

24                  With regard to the cameras, it's -- it's not  
25                  really one of the Marines. This is an issue

1           that (break in telephone transmission) has had  
2           on the books since February of (break in  
3           telephone transmission). It has to do with  
4           security concerns on the campus (break in  
5           telephone transmission) of some of the things  
6           that are in the laboratory that makes them, let  
7           us say a high concern (break in telephone  
8           transmission) security people. (Break in  
9           telephone transmission) exceptions (break in  
10          telephone transmission) rule and I will contact  
11          staff and talk about what we might or might not  
12          need to do to get those exceptions in place for  
13          this meeting. I can't guarantee any (break in  
14          telephone transmission) because that is  
15          controlled by the office of security for all of  
16          CDC. It's not controlled by my office. But  
17          we'll see what we can do. I understand Jerry's  
18          concerns and we'll do our best to address them.  
19          But again, I'll point out this is not a policy  
20          that was put in place for CAP. It's a policy  
21          that existed long before these particular  
22          meetings started, and it's a policy that's  
23          governed overall for all of CDC.

24           **MR. STODDARD:** Thank you, Dr. Portier.

25           **MR. BYRON:** This is Jeff Byron. If that's the

1           case then why has there been media here at all  
2           the other CAP meetings? I mean really what I  
3           see has gone down is that the veterans affairs  
4           committee, when they put this back into the  
5           hands of DoD and they -- they really -- or  
6           either they must be putting pressure on CDC and  
7           ATSDR or something because I just see a total  
8           attitudinal change in the atmosphere of this  
9           meeting. Thank you.

10          **DR. PORTIER (by Telephone):** Well, that I -- I  
11          can't -- I can't relate because I haven't been  
12          at the meeting previously so I don't know about  
13          the attitudinal change. There certainly is no  
14          ban on reporters, and anyone else, showing up  
15          to the meetings. The policy has to do with --  
16          with photography, and strictly has to do with  
17          photography. The concern, again, is one of  
18          security and so that I can't relate to. And  
19          maybe later when you (break in transmission)  
20          about governance you can address the -- my  
21          staff can address the issue of why there aren't  
22          as many reporters here this time as -- I have  
23          no idea.

24          **MR. MENARD (by Telephone):** Dr. Portier, this  
25          is Allen Menard, CAP member, on the phone.

1           There was never a problem with the cameras in  
2           there until we had a documentary crew in there.  
3           This was last year. And ever since then, when  
4           the Marine Corps put up a stink is when all  
5           this stopped and we had all these problems. So  
6           I want you to be aware of that, too.

7           **DR. PORTIER (by Telephone):** Okay, thanks.  
8           That (break in transmission) I suspect the  
9           other possibility is that a documentary filming  
10          crew got our security people alerted to the  
11          fact that there were cameras on campus that  
12          weren't allowed, and that might have ended up  
13          with the policy coming down and being -- us  
14          being reminded. But I will follow up and find  
15          out what happened after that. But I can assure  
16          you -- I had my policy people look this up  
17          because I was curious about where this policy  
18          was coming from -- and it is really a policy  
19          for all of CDC.

20          **MR. ENSMINGER:** Well, we're not -- Dr. Portier,  
21          this is Jerry Ensminger. We're not proposing  
22          that you allow these rogue camera crews on the  
23          campus here at CDC and just allow them to run  
24          amok. I mean these people have to be escorted  
25          to the meeting room, and they're not allowed to

1           leave this meeting room without an escort or  
2           with their cameras. I mean the -- the cameras  
3           stay in the room. They don't even go into the  
4           cafeteria, for God's sake. I mean, you know,  
5           this -- this security concern stuff -- and I  
6           don't mean this in any slight toward you, but  
7           whoever's telling you this stuff, they're full  
8           of crap. Okay? That's the only way I know how  
9           to put it. But that -- that's my say. Thank  
10          you.

11         **DR. PORTIER (by Telephone):** Well, as I said,  
12          Jerry, there are -- there are exceptions to  
13          this rule and we have to go through a process  
14          of getting the exception. I will look into it  
15          and see what we can do.

16         **MR. ENSMINGER:** And I believe you when you say  
17          you'll look into it, so thank you, sir.

18         **MR. STODDARD:** Okay. Anything else? All  
19          right. I think, Perri, we're ready for you.

20         RECAP OF PREVIOUS CAP MEETING

21         **MS. RUCKART:** Okay. Well, as we usually do,  
22          I'd like to set the stage for our current  
23          meeting by just letting you know what happened  
24          last time, so a brief summary of action items  
25          that came out of our April 29th meeting.

1           At the last meeting Jerry requested that we set  
2           up standards and operating procedures for the  
3           CAP and clarify the policy for media. And so  
4           as you know, we shared with you the CAP  
5           governance on August 23rd for your review and  
6           comment. We'll be discussing that later this  
7           morning, and we already had a nice discussion  
8           about the media policies.

9           Also at the last meeting Mike said he was  
10          continuing to work on an updated time line for  
11          the Hadnot Point fuel farm. Do you have any  
12          updates on that?

13          **MR. PARTAIN:** It's still a work in progress.  
14          We just recently have the Navy UST disk, so  
15          between Jim, Jerry and I, we'll continue to  
16          work on it, so...

17          **MS. RUCKART:** Okay.

18          **MR. PARTAIN:** I do -- I did have an update I  
19          forgot to mention. The -- with the male breast  
20          cancer count after the hearing, we identified  
21          one confirmed case and one possible case we're  
22          working to, so 66 men.

23          **MS. RUCKART:** Okay, thanks. Okay. At the last  
24          meeting in April, the CAP was still awaiting a  
25          decision from the DoD about giving them access

1                   to the UST documents, and those documents have  
2                   been shared very recently. And were there any  
3                   other documents that you were requesting access  
4                   to?

5                   **MR. PARTAIN:** Well, the UST documents to point  
6                   out that they were redacted, so we have the  
7                   redacted version of the file. I'd still like  
8                   to see or get a cross-index between what ATSDR  
9                   received when they first went in there and  
10                  looked at it versus what's -- what's in there  
11                  now. I understand that there is a -- we have a  
12                  spreadsheet of all the documents that were in  
13                  the file, so I don't know if you guys have a  
14                  counter-spreadsheet of what you saw when you  
15                  initially went in there. I'm curious to get  
16                  that.

17                  **MS. RUCKART:** Morris, can you cover?

18                  **MR. STODDARD:** Okay, if you'd give us your  
19                  name, organization and role.

20                  **MR. MASLIA:** This -- I'm Morris Maslia. I'm  
21                  with the Division of Health Assessment and  
22                  Consultation and responsible for the water  
23                  modeling activities and data analyses, and I'll  
24                  just briefly address the UST files we were  
25                  provided with as the Navy, Marine Corps and

1           other databases' unredacted files. And then on  
2           -- was it August 3rd -- 30th, or something like  
3           that, a complementary set that had either full  
4           or partial redactions. However, the list is a  
5           one-to-one list. In other words, if you take  
6           file 101, it's still on the original list, it's  
7           still on the updated list. If it's not  
8           redacted, it's the exact same file. If there's  
9           partial redaction, you still have the complete  
10          file, but certain pages are redacted. If it's  
11          totally redacted, you still have a file 101  
12          with a front page giving you the FOIA reason  
13          why it was redacted. It --

14          **UNIDENTIFIED:** (Unintelligible)

15          **MR. MASLIA:** Yes, yes, yes, it does. It says  
16          FOIA number nine or five or what-- whatever on  
17          -- on there.

18          At this point we have dedicated personnel to go  
19          through and see if in fact we are using parts  
20          or any other redacted files, or if we're not  
21          using them. We may not necessarily be  
22          extracting data from all 1,535 files, so the  
23          fact that a file may be redacted may be a moot  
24          point -- from our standpoint. I'm talking  
25          about from ATSDR's water modeling standpoint.

1           May be a moot point if we're not using it. And  
2           what I would like to do is, if in fact there  
3           are parts of files or files that are redacted  
4           that we are using, which I have -- we have not  
5           completed that reconciliation, then we need to  
6           concentrate on those files and go back and, you  
7           know, find a way around that. So that's where  
8           we stand right now.

9           I can tell you, on some of the ones that we  
10          have looked at to date, that for example, a  
11          file that's completely redacted many times says  
12          'draft' on it. And in fact, there's a  
13          corresponding file that's final, and it's the  
14          final file that we're using, and that's the  
15          file we would want to use anyway in our data  
16          analysis and -- and modeling. The difference  
17          between what's draft and final really I -- I  
18          don't believe is pertinent to what we're --  
19          we're doing since this is historical  
20          information. That's -- so I'm not -- what I'm  
21          telling you is we are not through really that  
22          reconciliation. I've got people on it and  
23          we're working -- working to determine what  
24          impact, if any, the redactions may -- may have.

25          **MR. ENSMINGER:** I have a question.

1           **MR. STODDARD:** Okay, Jerry, then Tom.

2           **MR. ENSMINGER:** Morris, are you also doing an  
3           inventory of these -- of this database or this  
4           file, the UST file, for documents that will  
5           show up as drafts or reports that will show up  
6           as drafts but never became final; there was  
7           never a final report issued from them?

8           **MR. MASLIA:** Well --

9           **MR. ENSMINGER:** How many -- how many --

10          **MR. MASLIA:** -- I can't -- I have to get back  
11         to you on that. I do not have a count and we  
12         haven't looked at it in that way. Our first  
13         approach on any type of information source,  
14         whether it was the CERCLA or CLW file or UST  
15         file is not -- not necessarily from our  
16         standpoint, from the water modeling standpoint,  
17         not to look at the legal classification of it  
18         but rather whether it contains pertinent  
19         information. I don't really look at the cover  
20         to see whether it says 'draft' or not on it.

21          **MR. ENSMINGER:** Well, what I'm getting at is  
22         that, you know, this could be a way of them  
23         saying 'Okay, we only did this report in draft;  
24         we never finalized it, so -- and we didn't like  
25         what we saw on the draft so we never finalized

1                   the document, so therefore you can't use it.'

2                   **MR. MASLIA:** Well, no, actually, for example --  
3                   one example that comes to mind, and it's in  
4                   file management number one, which is a series  
5                   of umpteen different reports, pieces of paper  
6                   and stuff like that, there's a LNAPL modeling  
7                   report in there. It's labeled 'draft.' That  
8                   entire file was not redacted, not a single page  
9                   from it, okay? So that's there.

10                  On the other hand, there are consulting  
11                  reports, or appears to be consulting reports,  
12                  that say 'draft' on the title page. Okay?  
13                  Completely redacted. Okay? However, we have  
14                  found the same report in final form. Okay?  
15                  And what I'm telling you is we have not  
16                  completed going through that process so I  
17                  cannot -- it would not be fair to me to say  
18                  whether it is going to impact us or not.

19                  Again, the case may be a report may be  
20                  redacted, but -- in its entirety, but from a  
21                  water modeling standpoint we may not be using  
22                  that report. In other words, it may not  
23                  contain information that we want to use, so --  
24                  so that's a legal consideration that does not  
25                  impact the water modeling. And again, we will

1                   -- hopefully by the next CAP meeting -- try to  
2                   have a final summary for you, but I don't -- we  
3                   just started this a couple of weeks ago and  
4                   trying to do it without pulling the technical  
5                   people off of what they're -- they're doing.

6                   **MR. ENSMINGER:** Well, and I think it would only  
7                   be something near and dear to your heart. I  
8                   think it would only be fair that since these  
9                   are Department of Navy documents and files, I'm  
10                  making a proposal right now that the Department  
11                  of the Navy/United States Marine Corps post all  
12                  of these document libraries -- the CERCLA  
13                  documents, the CLW documents, and now these UST  
14                  portal documents -- on the world wide web on a  
15                  searchable library for everybody. I don't  
16                  think it's ATSDR's responsibility to have to  
17                  provide all these documents to everybody and  
18                  anybody who wants them.

19                  **MR. PARTAIN:** Morris, this is Mike Partain  
20                  again here. Just to clarify some points, I've  
21                  learned to -- sorry, I was swinging -- anyways,  
22                  going back to the UST portal with the draft  
23                  versus final documents, I mean these are not,  
24                  as far as I understand, a matter of national  
25                  security or interests or what-have-you. But

1           these draft documents -- I mean we have seen,  
2           through our other reviews of documents, where,  
3           for example, Colonel Marshall stated in a  
4           Commandant draft report, the IAS, that the Army  
5           laboratories were unreliable, therefore should  
6           be de-emphasized in the Commandant report.  
7           What's not to stop them from doing something  
8           else like this with data that could be very  
9           critical to your studies? And that's a concern  
10          we have, if they're -- if we're being screened  
11          from draft and you rely on the final, how are  
12          we going to know, as the public, that something  
13          didn't get left out or just washed away because  
14          it was uncomfortable for the Marine Corps?  
15          Point -- that's point one.  
16          Point two, just want to nail some things down  
17          with the UST library itself. It was -- when  
18          you guys -- you know, I understand from -- I  
19          know we've gone over this before, that the  
20          library was accidentally discovered by a con--  
21          subcontractor at ATSDR, contrary to the Marine  
22          Corps saying that they'd routed it to you in  
23          their last (indiscernible) -- were there -- was  
24          this -- were there any sub-files? Did you make  
25          sure that there were any sub-files or branches

1           in the library from other documents pertaining  
2           to the Hadnot Point fuel farm in particular? I  
3           notice a lot of these are reports, scientific  
4           reports, but what about the administrative  
5           letters, correspondences and things like that?  
6           Did you all see anything else in there to  
7           indicate there were more -- there's more  
8           information than what we have?

9           **MR. MASLIA:** The -- actually what we did when  
10          we got the first round from the Marine Corps  
11          themselves, we compared it to what we  
12          downloaded ourselves -- okay? -- and it was a  
13          one-to-one correspondence in terms of the  
14          files. In other words, we downloaded 1,535  
15          files and they officially sent us the index and  
16          there were 1,535 files. This web portal has  
17          other purposes besides just the UST report  
18          repository. Obviously it's a working portal,  
19          so -- so they have report -- you know, focus on  
20          report preparation. That's an internal thing  
21          to their contractors and the Marine Corps, just  
22          as we would have at ATSDR. I mean we've got  
23          our LAN where we work on documents. You know,  
24          we wouldn't want to be downloading those.  
25          They're -- you know, obviously somebody's in

1                   the middle of writing a report.

2                   **MR. PARTAIN:** Did -- did they --

3                   **MR. MASLIA:** There were -- there were, I think,  
4                   some meeting -- meeting minutes -- okay? -- and  
5                   that was it. There -- again, the -- what we  
6                   are interested in from a water modeling are  
7                   reports that we can extract or that we believe  
8                   have the potential for us to extract relevant  
9                   information that will aid -- aid us in  
10                  developing a scientifically-defensible model,  
11                  and so that -- that's why I say that my  
12                  preference is to go with what is a final  
13                  report, only that I feel that it's been through  
14                  some type of QA/QC at that level. What we have  
15                  seen, for example, are drawings, for example.  
16                  Those tend to be what we see to date a good  
17                  portion of the redactions, but appearing, the  
18                  same version, in different -- different  
19                  reports. Okay? Now they're not necessarily  
20                  changed, but just as part of a draft document  
21                  and, just as we do when we send out the Chapter  
22                  C report, for example, that we send out for  
23                  comment, that would have been considered a  
24                  draft report. Okay? And yes, we change based  
25                  on feedback or whatever. I suspect that's

1                   probably the same thing that happens when it's  
2                   a consulting report or engineering report or a  
3                   report that we do, that you're going to change  
4                   it based on feedback that you get. And so what  
5                   we have to make sure to have something that we  
6                   can defend, publicly and scientifically, is  
7                   that it's based on the best data that we've  
8                   extracted, and that should be from a final  
9                   report, in other words. That's something that  
10                  whoever originated that report would stand --  
11                  would stand behind.

12                  **MR. PARTAIN:** Well, may I ask you on -- you  
13                  said meeting -- there were meeting minutes.  
14                  Were they -- are they part of the UST document  
15                  library that we have or --

16                  **MR. MASLIA:** They're part of a portal.

17                  **MR. PARTAIN:** Okay. So this is a separate  
18                  area, 'cause the data mining group, have you  
19                  guys identified that or looked at that? The  
20                  reason why I bring up the meeting minutes, for  
21                  example --

22                  **MR. MASLIA:** Sven Rodenbeck, who'll be here  
23                  later I think I see on the schedule, will  
24                  probably address that. I have -- I think  
25                  that'd be best for him.

1                   **MR. PARTAIN:** The reason why I bring that up,  
2 and I mean I know you guys are looking for data  
3 to plug into the water model, but there's also  
4 historical information in there that will  
5 uncover and lead to other things. A prime  
6 example, the document of a meeting minute that  
7 Jim found in January this year detailing  
8 800,000 gallons of fuel loss that they had up  
9 on a fuel farm, so I mean there's stuff in --  
10 in that tape of -- even though it's not  
11 analytical data, there's critical information  
12 in there that we need to know about, you all  
13 need to know about, that could lead to other  
14 avenues or other sources of information. So I  
15 would like to see if there's any -- any other  
16 sub-- sub-branches or branches of this Navy UST  
17 portal to know what they are and what type of  
18 stuff is in there. I think that's critical.  
19 And also the -- when you were talking about the  
20 -- you know, going back to the draft, please  
21 understand that, you know, the Navy and ATSDR  
22 are operating under two different motivations.  
23 Yeah, it might be prudent for you guys to bring  
24 things out and have it looked at and, you know,  
25 peer reviewed and change your draft to the

1                   final based on recommendations. That might not  
2 necessarily be true for the Department of the  
3 Navy. There's a different motivation there.  
4 You guys are trying to bring information out  
5 and it's in their best interests to keep as  
6 much quashed as possible.

7                   **MR. STODDARD:** We need to be moving on. Tom?

8                   **DR. SINKS:** Morris, I want you to clarify a  
9 term you used, which was 'impact.' I just want  
10 to be very clear everybody understands what  
11 you're describing because you're talking about  
12 redacted documents and how they impact us.  
13 They clearly impact CAP members differently  
14 than they impact us, and I just want to be very  
15 clear that we are using documents in your  
16 modeling that are not redacted to build your  
17 model. Is that correct? So the impact a  
18 redacted document has on what we do is exactly  
19 what? How we reference the document? It's not  
20 how we -- I don't think it's how we use it in  
21 the model.

22                  **MR. MASLIA:** No. Let me address that, because  
23 we have precedents and that's -- we're using  
24 the same process that we used for the Tarawa  
25 Terrace analyses as -- as well. When we read a

1           document and we decide there is information or  
2           data there that is useful for our model  
3           development, we will use that. And we cite the  
4           source. For those who have read Chapter C or  
5           went through it, I think there's something like  
6           over 220 CERCLA documents that we referenced.  
7           Every table has a reference. If it turns out  
8           that a document that we've extracted data from  
9           and used in our modeling or data reports is  
10          redacted, then we cannot cite that as a  
11          reference. If we cite it as a reference, then  
12          we have a responsibility professionally -- this  
13          goes not just for ATSDR but anybody say who  
14          writes a journal article, same -- same  
15          professional criteria -- to be able to supply  
16          that document in its entirety to whoever wants  
17          it so they can reproduce our work, if -- if  
18          needed. We can't do that if the document is  
19          redacted. So that is why we have -- not only  
20          at this site, at other sites I have worked on -  
21          - said we will not use any court-sealed  
22          documents or anything that we cannot reference  
23          and provide, you know, on demand, so to speak.  
24          And so that -- that's the approach and that's  
25          how I say a redacted document may or may not

1                   impact, as it's whether we can cite it in our  
2                   reference list and be able to produce it. And  
3                   so that's why if there's a final version of the  
4                   document, we can cite it, it's not redacted.  
5                   That's not an issue. If it turns out that a  
6                   document is partially redacted, I'm going to  
7                   see first are the data repeated elsewhere in a  
8                   non-redacted document. If they are, then I'll  
9                   use that one. If it comes out -- and as I  
10                  said, again, we have not completed our  
11                  reconciliation. If it turns out that they are  
12                  -- that there are documents or pages that are  
13                  redacted that in fact contain data that we  
14                  believe we are using, then we will go back to  
15                  the Navy and Marine Corps and discuss that  
16                  issue.

17                  **MR. FONTELLA:** Morris, a quick question, the --

18                  **MR. STODDARD:** Would you tell us who you are?

19                  **MR. FONTELLA:** Jim Fontella, sorry. The UST  
20                  files, on the file itself, on the disk, there  
21                  are skipped numbers. Just for a hypothetical,  
22                  file 100 and then it goes to 102. Well, what  
23                  happened to 101? I mean is it --

24                  **MR. MASLIA:** That's their numbering. See, we -  
25                  - we --

1           **MR. FONTELLA:** It doesn't mean there's missing  
2           files --

3           **MR. MASLIA:** No, no --

4           **MR. FONTELLA:** -- is what I'm saying, it's just  
5           --

6           **MR. MASLIA:** Well, I can't tell you. That --  
7           that is just their identification number.

8           Okay? That's --

9           **MR. FONTELLA:** Yeah, right, but I'm saying that  
10          the --

11          **MR. ENSMINGER:** Is it numbered the same way on  
12          the original file, the unredacted file?

13          **MR. MASLIA:** Yes, Jerry, it is. Yes. They're  
14          numbered -- some of them in the later years, if  
15          you look at the post-2000 documents, they're  
16          not even numbered. They have names.

17          **MR. FONTELLA:** Yeah, well, I saw that, but I  
18          was just wondering if maybe they -- if there  
19          was a document that was pulled or it wasn't  
20          there or what - that's it.

21          **MR. STODDARD:** Okay. We need to get back to  
22          Perri and let her complete the review.

23          **MS. RUCKART:** Just a few things. At the last  
24          meeting Devra suggested that she and Tom write  
25          a letter to the NAS committee who's doing a

1           review on breast cancer to let them know about  
2           what's going on at Lejeune. Anything to update  
3           on, Tom?

4           **DR. SINKS:** I haven't heard anything from Devra  
5           on that and I haven't followed up.

6           **MS. RUCKART:** Okay, that's fine. At the last  
7           meeting Morris gave an overview of what was  
8           going on with the water modeling and he focused  
9           on the tasks and goals associated with data  
10          modeling and data extraction process for Tarawa  
11          Terrace and Hadnot Point Installation  
12          Restoration sites. And because of all that,  
13          any additional data discovery needs is likely  
14          to extend the time line by about six months to  
15          March 12. That was projected then. That's  
16          still...

17           **MR. MASLIA:** I will address that in my  
18          presentation this morning.

19           **MS. RUCKART:** Okay, good. And then last time  
20          we had a presentation by Brad Flohr of the VA.  
21          He discussed the claims process. You can see  
22          on the summary the specific items that he  
23          discussed and some action items for him. As  
24          far as I'm aware, he and a colleague will be  
25          here later this morning to provide an update on

1 | that.

I guess that's all I have.

3                   **MR. STODDARD:** Okay. Any questions about the  
4                   updates?

5 (No response)

## **CAP GOVERNANCE**

7                   **MR. STODDARD:** Okay. Ready to move on to the  
8                   next piece of the agenda, which is discussing  
9                   the...

10 The next item on the agenda is discussion of  
11 the draft of the governance document. In order  
12 to have a somewhat orderly and civil discussion  
13 on this, I'd like to approach this in a -- in  
14 this way. I'd like to ask three questions and  
15 have us address them in this order.

16           First, what questions do you have for  
17       clarification? Let's make sure we get the  
18       facts right first, make sure we're all talking  
19       about the same thing.

24                   And third, what suggestions do you have for  
25                   improvement?

1 Is that -- is that process clear to everyone,  
2 what I'm proposing? Any questions about the  
3 process?

4 | (No response)

5                   **MR. STODDARD:** Does everybody have a copy of  
6                   the draft document? Yes? Okay.

7           Okay, so first, what questions do you have for  
8           clarification?

9                   **MR. ENSMINGER:** Well, right here on the first  
10                 page --

11 MR. STODDARD: Jerry?

12                   **MR. ENSMINGER:** This is Jerry Ensminger. Right  
13                   here on the first page, this spells out the  
14                   goal of the CAP is to improve the quality,  
15                   legitimacy and capacity of public health  
16                   assessments and decisions -- and then you cite  
17                   the NRC. Anyhow --

18 DR. BOVE: It's a good report.

19                   **MR. ENSMINGER:** Whatever. Number one, help  
20                   ATSDR gain the trust of the affected community.  
21                   Number two, help ATSDR make its decision-making  
22                   process as transparent as possible.

23 Three, improve the scientific quality of the  
24 public health activities by providing local  
25 knowledge.

1           This first one up here, the trust of the  
2           affected community, and this thing in the  
3           second one about transparency -- I've got some  
4           real issues with that.

5           **MR. STODDARD:** Okay, Jerry, what is your  
6           question for clarification? What -- what is it  
7           in here that you're not clear about, not --

8           **MR. ENSMINGER:** Well, I mean I hear all these  
9           flowery statements here of why a CAP exists and  
10           why they're formed, but when it comes up to  
11           living up to these, then there's all kinds of  
12           little stipulations thrown in. Like well, you  
13           can't bring the news media in to cover this --  
14           these --

15           **MR. STODDARD:** It sounds like this is your  
16           reaction to --

17           **MR. ENSMINGER:** They're bringing the damned  
18           Gestapo in here as an intimidating factor to  
19           stand guard over us and --

20           **MR. STODDARD:** Jerry --

21           **MR. ENSMINGER:** -- intimidate us.

22           **MR. STODDARD:** -- right now we want a -- that's  
23           a reaction to the -- a legitimate reaction. We  
24           want to hold those till after we get  
25           clarification questions covered.

1           **MR. ENSMINGER:** Okay.

2           **MR. STODDARD:** Okay? Any other questions for  
3           clarification?

4           **MR. PARTAIN:** Well, why are we -- this is Mike  
5           Partain. I mean the CAP has been effective and  
6           has worked without really a problem for the  
7           past -- what, four years, and the three years  
8           that I've been on it. And this whole issue  
9           about guidance and governing principles did not  
10          become a -- did not surface until, you know,  
11          frankly, we started digging in and finding  
12          things, and all of a sudden it's become an  
13          issue. So why are we, number one, wasting our  
14          time on something to try to fix something that  
15          isn't broken? And -- I mean this has taken  
16          away time -- discussion from things that we  
17          need to be talking about. I'd like to see this  
18          -- I just don't understand why we're wasting  
19          this time.

20          **MR. STODDARD:** So I take that as -- part of  
21          that as reaction and part of it is sort of an  
22          added question, which is: Clarify for us why  
23          you're doing this? So Frank or Perri, can you  
24          address that?

25          **DR. BOVE:** Yeah, there was some concern among

1           my superiors about the fact that there wasn't  
2           anything formally stated about the CAP. That's  
3           not unusual. CAPs, in my own experience, are  
4           all kinds of different -- are all kinds of  
5           different shapes, sizes and have somewhat  
6           different purposes, although the overriding  
7           purpose is to allow for community input and to  
8           -- to gain trust on -- on the work we do. But  
9           because of those -- there was some concern  
10          about a need for a formal structure, we did  
11          some -- Perri and I did some work, went through  
12          this NRC document, which is actually a very  
13          good report, written by people who have  
14          experience doing public participation, this --  
15          so it's not like some of the other NRC reports  
16          we've unfortunately been reading. But -- and -  
17          - and based on information there, plus knowing  
18          some of the history of CAPs of ATSDR, we put  
19          together a document here that would explain to  
20          our higher-ups who have -- may not have had the  
21          experience -- I've been in ATSDR since '91 --  
22          may not have had the experience we have with  
23          CAPs and --and so on. So that's -- so that's -  
24          - that's part of the reason why we put together  
25          this document. We -- we got your input last

1                   time around. We'd like to put this to bed  
2                   ourselves. We agree with you, we don't see  
3                   anything broken that needs to be fixed, and  
4                   I've said that several times now, and -- and  
5                   hopefully this will stop that problem.

6                   **MS. RUCKART:** There's another reason why we put  
7                   this together. When there were openings on the  
8                   CAP there were questions about how we're going  
9                   to fill those openings, and that was kind of ad  
10                  hoc, and Mary Ann actually had questions about  
11                  the process. So that was another driving force  
12                  behind this.

13                  **MR. ENSMINGER:** Well, and that's what I wanted  
14                  to clarify, for the record. All this started  
15                  because of a complaint by a member of the CAP  
16                  from the Department of Navy, and here we are.

17                  **MR. STODDARD:** Okay. So I'm hearing --

18                  **MR. ENSMINGER:** But the CAP is for the affected  
19                  community, not the -- not the primary  
20                  responsible party for the pollution. Okay?

21                  **MR. BYRON:** And this is Jeff Byron. As far as  
22                  number three, improve the scientific quality of  
23                  public health activities, I don't think it'd be  
24                  possible for you guys even to write a credible  
25                  report without the help of Jim, Jerry and Mike.

1           I mean let's be honest, they're the ones who  
2           found all the documents. It hasn't been  
3           governmental reports. It hasn't been the  
4           Department of the Navy or the Marine Corps  
5           that's handed these documents over, although  
6           they've known they had them for what -- how  
7           many years has this gone on? When did we  
8           become a national priority site, 1989? And I  
9           wasn't even informed till 2000? Thank the  
10          Commandant for me.

11         **MR. STODDARD:** Any other questions for  
12          clarification?

13         **MR. ENSMINGER:** Just a clarification. What  
14          Jeff said about all the documents and we were  
15          the ones responsible for finding all -- Jeff  
16          rescinds that comment because ATSDR, some of  
17          their people have been very, very active in  
18          discovering this stuff, so I just want to give  
19          them the credit they deserve.

20         **MR. BYRON:** This is Jeff. I agree with that,  
21          too, but you have to admit that the -- the  
22          damning documents have been found by the CAP  
23          members.

24         **DR. BOVE:** Well, that's -- that's what this  
25          means, that -- point three means simply that we

1           can't do good science without the information  
2           provided by the affected community. That's  
3           exactly what this says. And in fact, I've  
4           asked -- I will be asking later in this meeting  
5           for some of that local knowledge that you have  
6           in order for us to be able to do our studies  
7           properly, so keep that in mind.

8           **MR. STODDARD:** Okay, so what I'm hearing from  
9           the people who explained why we have this  
10          document is that the request came from people  
11          up the chain in ATSDR/NCEH in terms of well,  
12          how do you guys operate, why do you exist, and  
13          Mary Ann asked a question about the process for  
14          selecting new members and how do we do  
15          membership, so your question was limited to the  
16          membership issue. Mary Ann's shaking her head  
17          yes. And so you may disagree with the --  
18          Jerry, with the reason behind, but this is  
19          reason -- I'm recapping, this is the reason  
20          that they have stated why the document exists.  
21          So that...

22          Any other questions for clarification?

23           **MR. PARTAIN:** One last one, this is Mike  
24          Partain. I just want to -- I guess it'll be a  
25          question and a concern. I do not want to see

1                   this governance procedures to be a tool to  
2                   limit the discussion of the CAP or to direct  
3                   the discussion of the CAP. I want to throw out  
4                   there as a question, is that -- I mean is there  
5                   going to be guarantee that if we want to talk  
6                   about something, that we're going to be  
7                   permitted to talk about it?

8                   **DR. BOVE:** Yes.

9                   **MR. ENSMINGER:** Really? And our agenda's not  
10                  going to be changed after we've approved it?

11                  **MR. STODDARD:** Jerry asked a question. Frank,  
12                  your response? Perri, your response?

13                  **MS. RUCKART:** Well, page 5 outlines how we plan  
14                  a meeting, and it shows the steps taken to  
15                  develop the agenda. And previously we were  
16                  doing it a little bit different, and since our  
17                  April meeting we have refined that process a  
18                  bit, so let me see down here -- the fourth  
19                  bullet, (reading) The project staff creates a  
20                  draft agenda and shares with all the relevant  
21                  parties -- so that would be our management, the  
22                  CAP, that would include the DoD because they  
23                  get it through Mary Ann. And we get feedback  
24                  on that, and then we revise the agenda based on  
25                  any comments we receive, and then we distribute

1                   the final agenda to all the relevant parties.  
2                   Whereas before, it was done a little bit  
3                   differently so now everyone's going to get it  
4                   at the same time, make their comments. We'll  
5                   consider all the comments at the same time and  
6                   revise the agenda. But whatever you told us --  
7                   you, meaning the CAP members -- that there's  
8                   something you want to discuss, we -- Frank and  
9                   I put it forward as an agenda item.

10                  **MR. ENSMINGER:** Yeah, but it's gotten removed,  
11                   too.

12                  I have one more thing, and this pertains to  
13                  going back to the access to these meetings by  
14                  the media. And I'm here to say right now that  
15                  if this policy doesn't change and the media  
16                  does not have access to these meetings, then  
17                  I'm going to get a petition started to move the  
18                  venue of our meetings back to Jacksonville,  
19                  North Carolina or Wilmington, North Carolina,  
20                  whatever be, but in the area where this  
21                  occurred, and then the media will have access.

22                  **MR. STODDARD:** Tom?

23                  **DR. SINKS:** Yeah, Tom Sinks. Let me just --  
24                  want to clarify what you said, Jerry. You're  
25                  talking about access with film media.

1           **MR. ENSMINGER:** Right.

2           **DR. SINKS:** You're not talking about media  
3           access, because media does have access. He's  
4           talking about coming in with cameras and that  
5           policy, and we will look at that and we will  
6           see what we can come up for alternatives. An  
7           alternate venue is something we're thinking  
8           about. There are problems with alternate  
9           venues, such as I don't think we can stream  
10          across to all the people who might be accessing  
11          the stream by video, and so we may be -- you  
12          know, taking it off-site might actually, you  
13          know, have benefits and limitations itself. So  
14          those are things we need to consider. We may  
15          be able to fix that. I think that's -- I just  
16          would say it seems to me that this document --  
17          the things where Jerry was pointing out, I  
18          think they're bullets on that first page, those  
19          are the areas where, if what we set up with the  
20          CAP isn't helping to fulfill those goals, that  
21          provides the CAP with a way to challenge us or  
22          to ask the questions, just like Jerry has  
23          asked. If you agree with those goals and we're  
24          setting up barriers to achieving those goals,  
25          that's where you -- you know, your input is

1                   valuable. I think that's what I was hearing  
2 Jerry say earlier.

3                   **MR. ENSMINGER:** Well, I'd like to know  
4 something while we're discussing this. Who was  
5 it that brought the armed police officers in  
6 here at our last meeting? I mean as soon as we  
7 pop out of the side door at the visitor center  
8 after we check in and we're waiting on our  
9 escort, here's a -- an armed DeKalb County  
10 policeman standing there, in uniform, with his  
11 riot -- his riot uniform on that was  
12 paramilitary, with a loaded weapon, all his --  
13 you know, taser and all that crap, and -- you  
14 know, and then they're posted out here by the  
15 cafeteria, too, and then outside the door of  
16 our meeting room. What the hell's going on  
17 here?

18                  **MR. STODDARD:** Okay, so this is a little  
19 outside --

20                  **MR. ENSMINGER:** (Indiscernible) a damned stalag  
21 --

22                  **MR. STODDARD:** -- the governance --

23                  **MR. ENSMINGER:** -- or something.

24                  **MR. STODDARD:** -- the governance issue. Does  
25 somebody have a quick answer for that, or can

1 we put this on the -- on the --

2 MR. ENSMINGER: Who did --

3                   **MR. STODDARD:** -- bike rack for addressing  
4                   later?

7                   **MR. STODDARD:** Okay, Jerry, I'm going to  
8                   capture this on the bike rack for discussion --

9 MR. ENSMINGER: Okay.

10                   **MR. STODDARD:** -- later, if you -- okay? And  
11                   we'll try to get an answer to that before the  
12                   end of the evening.

13                   **MS. RUCKART:** Well, that has come down from  
14                   CDC, I believe, from OSEP, the Office of  
15                   Security and Emergency Preparedness.

**MR. BYRON:** Then where are they today?

**UNIDENTIFIED:** They had an emergency today.

18 **UNIDENTIFIED:** Yeah.

19                   **MR. STODDARD:** Okay. So we'll try to get  
20                   clarification on that.

21 All right, back to the -- back to the  
22 governance document, any other questions for  
23 clarification?

24 (No response)

25 MR. STODDARD: Okay. What reactions do you

1           have to the document? We've heard some. Other  
2           reactions to the document? Like it, don't like  
3           it?

4           **MR. BYRON:** I mean I'll -- this is Jeff Byron.  
5           As far as the document goes, I don't really  
6           have a problem with the document, it's why it  
7           came up originally is the problem. It seems  
8           like we're going backwards when we try to redo  
9           mission statements, and all this has been at  
10           the request of the DoD. And as far as the  
11           leadership of ATSDR, the only thing I'd like to  
12           know about that is did they have a meeting with  
13           the Department of -- JAG or DoD lawyers before  
14           this all came up? What -- what prompted that?  
15           That's all I have.

16           **MR. TOWNSEND (by Telephone):** Tom Townsend  
17           here.

18           **MR. STODDARD:** Yeah, go ahead, Tom.

19           **MR. TOWNSEND (by Telephone):** I -- I don't have  
20           -- I don't have access to that -- that draft  
21           document so I -- I couldn't get it off the  
22           Internet. I don't have that -- I don't know  
23           how to use -- don't know how to get it. I'd  
24           like a copy sent to me.

25           **MR. STODDARD:** Okay, Tom, I got that.

1           **MS. RUCKART:** Tom, are you asking for a copy of  
2           the CAP governance? It was sent out on August  
3           23rd.

4           **MR. TOWNSEND (by Telephone):** The governance, I  
5           -- I -- the governance document.

6           **MS. RUCKART:** Is that what you have? I'm  
7           sorry, I was talking to Tom Sinks so I didn't  
8           hear your -- is that what you want? Which  
9           document are you asking for?

10          **UNIDENTIFIED:** The draft governance document.

11          **MS. RUCKART:** Right, it was sent on --

12          **MR. TOWNSEND (by Telephone):** Draft governance  
13           document.

14          **MS. RUCKART:** It was sent on August 23rd. Do  
15           you need me to resend that? It was e-mailed on  
16           August 23rd.

17          **MR. TOWNSEND (by Telephone):** I don't have a --  
18           I don't have a way to get -- I don't know how  
19           to use it good enough to get it.

20          **MR. STODDARD:** Okay. So is it your --

21          **MR. PARTAIN:** Tom, you want us to fax it to  
22           you, Tom?

23          **MR. TOWNSEND (by Telephone):** Yes, please.

24          **MR. PARTAIN:** Tom's still in the 20th century.

25          **MR. STODDARD:** Okay, so we'll get it to him by

1 fax.

2 Okay, other reactions to the document?

3 **MR. PARTAIN:** Well, I'll note that we are still  
4 -- at 10:20, we're still talking about it, so -  
5 - I mean the concerns we brought up I think  
6 were well documented. One thing that we were  
7 talking about in the CAP and everything, I  
8 would like to see some type of link opened up  
9 during the CAP meetings where people who are on  
10 the Internet and watching -- I'm on my e-mail  
11 right now. You know, there are people out  
12 there watching and they want to ask questions,  
13 they're -- you know, if we can respond to it,  
14 if we can maybe hold it up in a --

15 **UNIDENTIFIED:** Interactive link?

16 **MR. PARTAIN:** -- interactive link or something  
17 where people can -- like -- or like chat, where  
18 they can ask questions, we can respond to it,  
19 since we do have Internet access in these  
20 meetings now.

21 **MR. STODDARD:** Okay, so basically what we're  
22 asking is that the audience become more than  
23 observers, but participants.

24 **MR. PARTAIN:** I mean these people are all over  
25 the country.

1                   **MS. RUCKART:** Well, I have a question about  
2                   that, because people are saying they didn't  
3                   have a problem necessarily with the content of  
4                   the CAP governance, and one of the things it  
5                   says is the audiences don't participate. So is  
6                   that something you would like to see done or --

7                   **MR. ENSMINGER:** No, we're not talking about  
8                   direct participation by the audience, but  
9                   people that are watching the streaming video,  
10                  just like the people who are in the audience,  
11                  they can come up and address us and ask us a  
12                  question. And if we look at that question and  
13                  it's deemed a -- a legitimate question, then it  
14                  can be voiced while we're in our meeting.

15                  **MS. RUCKART:** Well, okay, so I guess I am still  
16                  a little confused about that because I -- I  
17                  thought -- and this is something that, if we  
18                  need to change it, we could, but everyone's  
19                  saying in theory you're okay with the content  
20                  because I thought that one of our principles in  
21                  here is that the audience doesn't participate  
22                  unless we have a direct question for them, so  
23                  you're saying you want that different?

24                  **MR. PARTAIN:** Perri, let me make this clear.  
25                  On the -- we're not asking for direct

1 participation, but there are people that ask  
2 questions. And like for example, while we're  
3 meeting I've got my laptop here, and someone  
4 can ask a question that doesn't necessarily  
5 pertain to them personally but pertains to the  
6 CAP and to the community, and we can look at  
7 these things and -- and ask them or, you know,  
8 bring it up. But we're not asking for these  
9 people -- or we're not -- we're not asking for  
10 direct participation that way, but I think  
11 these -- you know, the people out there that  
12 are in, you know, California, Washington, that  
13 can't make it out here to these CAP meetings,  
14 it'd be a nice way to get them some voice and  
15 also an opportunity for us to interact.

16 **DR. BOVE:** Mike, there's two possibilities I  
17 can think of. One is to actually set it up  
18 yourselves, and since you have a laptop, you  
19 can be checking it. The other thing is for  
20 people to mail it to our Camp Lejeune mailbox,  
21 our e-mail box, and we check it at lunchtime.  
22 Other than that, I'm --

23 **MR. ENSMINGER:** Yeah, that's a good idea.

24 **DR. BOVE:** -- I'm concerned about whether we  
25 have the technology in place. We've had enough

1                   difficulty getting the streaming live working.

2                   **MR. ENSMINGER:** Well, I think that's a good  
3                   idea.

4                   **DR. BOVE:** We could work it out that. We'll  
5                   check to see if there are other options, but I  
6                   have a feeling the better option would be --

7                   **MR. PARTAIN:** Well, we've got our discussion  
8                   board on our website and people can post there  
9                   --

10                  **MR. ENSMINGER:** No, no, no --

11                  **MR. PARTAIN:** No?

12                  **MR. ENSMINGER:** No, no, no, let's not confuse  
13                  this. If they want to ask a question  
14                  specifically during a CAP meeting, then they  
15                  need to use ATSDR's Camp Lejeune website  
16                  address and send it to that --

17                  **MR. PARTAIN:** Yeah, but we'll need to be able  
18                  to get access to that.

19                  **MR. ENSMINGER:** Huh?

20                  **MR. PARTAIN:** We'll need to be able to get  
21                  access to that to see it --

22                  **MR. ENSMINGER:** Well, Frank -- as Frank just  
23                  said, to be able to go check it at lunchtime,  
24                  come back with the questions.

25                  **DR. BOVE:** That way they're not participating

1                   in real time necessarily. They're sort of --  
2                   there's a delay. But if there's a concern  
3                   raised, we can try to address it during the  
4                   meeting. We may have to put it in the bike  
5                   rack.

6                   **MR. PARTAIN:** Yeah, 'cause I understand that,  
7                   you know, we can't open it up to everybody  
8                   'cause we'd be inundated and we wouldn't be  
9                   able to do anything, so -- but at least it'd  
10                  give people an opportunity to say something, to  
11                  speak out or ask a question they can't get  
12                  answered, for the benefit of everybody.

13                  **MS. RUCKART:** Well, one thing, you know, we can  
14                  -- what we do is when people e-mail the Camp  
15                  Lejeune box, we respond as quickly as possible.  
16                  So if people are viewing a meeting and it  
17                  causes them to have a question and they send it  
18                  to the Lejeune box, they will get a personal  
19                  response. They -- they always do and in fact  
20                  that would continue to be the case.

21                  **MR. STODDARD:** And the other thing I'm hearing  
22                  you say, Mike, is not that we open it up for  
23                  anybody to come and talk, but that you want to  
24                  take any input and questions from members of  
25                  the community. And my understanding is that

1                   you, as representatives, do that all the time.

2                   **MR. ENSMINGER:** Well, we do.

3                   **MR. STODDARD:** And that you would want to be  
4                   able to bring those questions, which you have  
5                   the right to do at any point in time.

6                   **MR. ENSMINGER:** But it's just like whenever we  
7                   have like special people giving presentations  
8                   at these meetings, like the VA people, some of  
9                   these people may have -- I mean some of these  
10                  people have some good questions that the rest  
11                  of us don't neces-- won't necessarily think  
12                  about.

13                  **MS. RUCKART:** But again, you know -- I mean,  
14                  whenever we get a question sent to the box we  
15                  give a personal response, and if that would  
16                  involve linking them up with the VA, we do  
17                  that. Or if somebody mentions a question that  
18                  we feel is important to mention to the group we  
19                  put it on the agenda and -- and we do that, but  
20                  we can entertain some other ideas, like Frank  
21                  said.

22                  **MR. ENSMINGER:** Okay.

23                  **MR. STODDARD:** Okay, ready to move on? Other  
24                  suggestions for improvement to the document?

25                  **MR. ENSMINGER:** Throw it away.

1           **MR. BYRON:** We'll put them in writing.

2           **MR. STODDARD:** Pardon? What was that, Jeff?

3           **MR. BYRON:** I said -- this is Jeff -- we'll  
4           review it some more and we'll put any questions  
5           in writing after this.

6           **MR. STODDARD:** Okay, so this document is still  
7           open for feedback.

8           All right. It is 10:25. We are scheduled to  
9           take a break at 10:30. Can we go ahead and  
10          take a 10-minute break and we'll come back and  
11          Morris will talk about water modeling updates,  
12          so a 10-minute break. We will start again in  
13          ten minutes, whatever your watch says.

14          (Recess taken from 10:25 a.m. to 10:38 a.m.)

15          WATER MODELING UPDATE

16           **MR. MASLIA:** Good morning. Again, my name's  
17          Morris Maslia and I'm with ATSDR's Division of  
18          Health Assessment and Consultation, overseeing  
19          the water modeling aspect of our Camp Lejeune  
20          health studies, and I'd like to give you an  
21          update this morning of where we are in terms of  
22          data analysis and water modeling. Basically I  
23          will just go over -- go over six -- or five, no  
24          six -- six different points: the data  
25          extraction, UST file review we talked a little

1           bit about earlier, mass computations, water  
2           supply well operations and chronology for water  
3           flow water development and water distribution  
4           system monitoring, specifically the Hadnot  
5           Point Holcomb Boulevard interconnection. And  
6           speaking of that, I've got Jason Sautner, whose  
7           primary responsibility is to work with, develop  
8           and calibrate the water distribution modeling  
9           here for us.

10          Just to review, we've got two different  
11         classifications of sites. For the Hadnot Point  
12         Holcomb Boulevard area we've got the  
13         installation restoration sites that we  
14         basically completed work on. And we've got a  
15         UST or underground storage of -- ground storage  
16         type -- sites, primarily related to fuel loss,  
17         fuel spillage, and that type of activity.  
18          So let's again just go over our water modeling  
19         process 'cause I'd like to focus in on that  
20         aspect of it, from the technical standpoint.  
21          We basically have four -- four activities:  
22         Identifying information sources, extracting the  
23         pertinent data -- most of this data  
24         historically has been in hard copy form --  
25         building electronic databases, and from those

1           databases then building model-specific. By  
2           model-specific, I mean the format that the  
3           individual model codes require. And then of  
4           course models need to be developed --  
5           developing them, calibrating them, simulation,  
6           and then providing the results to the  
7           epidemiologists. This is the process that we -  
8           - was used for Tarawa Terrace, this exact  
9           process.

10          With that said, what we use from the technical  
11         data extraction process is we've got a feedback  
12         loop here, and that is what we rely on, and  
13         that is the feedback to us if in fact the model  
14         is producing results at a certain stage that  
15         are counter-intuitive or contradict information  
16         that we have, we go back and either research  
17         the databases to see if we either input  
18         incorrectly, misinterpreted or missing critical  
19         information. Or if not, we may go back and  
20         look for additional sources, or query  
21         additional sources.

22          Two examples come to mind. One is at Tarawa  
23         Terrace we started off with the assumption that  
24         supply well TT-23 -- or the new Tarawa Terrace  
25         well, as it's referred to in a lot of the

1 documents -- was not operated. The model came  
2 back and said to get the volume of water that  
3 everyone was agreeing to that came into the  
4 treatment plant, we had to have another source.  
5 So we went back and that's when we started  
6 reading, line by line, the water  
7 (indiscernible) plant books and found an  
8 instance where in fact the well was operated.  
9 So that gave us feed-- feedback that in certain  
10 instances the well would be operated. Put that  
11 into the model and the model worked correctly.  
12 So the model is a useful tool, even though it's  
13 not necessarily fully calibrated at times.  
14 Based on physics, though, that -- another just  
15 most recent example, we were having issues with  
16 the water distribution system modeling, the  
17 interconnection, saying water was moving in a  
18 certain direction that seemed counter-  
19 intuitive, like back through a -- a valve where  
20 it should not be. Turns out that in fact we  
21 were -- we have now been able to resolve that  
22 by getting additional information on water use  
23 at a golf course. Okay? Finding maps with  
24 specific information on the sprinkler heads and  
25 that type -- and also talking to our technical

1           points of contact, getting together and  
2           simulating it in a slightly different manner --  
3           okay? -- visualizing the physics of the  
4           problem. So again, the -- the point here is  
5           that we have feedback from our tools, if you  
6           will, at all points during this process. It's  
7           not just information in and -- and then going  
8           with whatever may come out.

9           So to give you an update now on this table at  
10          the bottom, where we are with different aspects  
11          of water -- Tarawa Terrace, of course, is  
12          complete, as we said. The Hadnot Point Holcomb  
13          Boulevard IR sites that -- I'll say more about  
14          that -- we're -- that's in our models. Okay?  
15          The model is using that information. That's  
16          where we have developed the geohydrologic  
17          framework, water levels, things of that nature,  
18          model boundaries. The underground storage  
19          tanks, we -- we are continuing -- we're nearly  
20          complete -- we've completed a review of those  
21          files. We've built electronic databases.  
22          We've extracted and built model input  
23          databases, and so we're also using that. We  
24          still have a little ways to go, but again,  
25          these are augmenting the installation

1                   restoration file site type of data.

2                   The CATLIN MS access database, we have looked  
3                   at that. We've noted some issues, some QA/QC  
4                   issues, and we have made the decision that in  
5                   fact we will not be pursuing that any further.  
6                   That is because the gold standard, if you will,  
7                   is the hard copy report. So if there's a  
8                   discrepancy between the electronic database and  
9                   the hard copy report, we always turn back to  
10                  the hard copy report. That is where that --  
11                  those files were generated from, and there are  
12                  -- we have noted and provided Marine Corps and  
13                  Navy some feedback on instances where there are  
14                  discrepancies. Whether those discrepancies  
15                  come from a re-surveying of wells, re-  
16                  establishment of a new datum for the base, or  
17                  whatever, there are discrepancies. And so when  
18                  that occurs -- and everyone's in agreement with  
19                  -- with this -- is that the gold standard  
20                  should be the original hard copy report. So  
21                  basically we -- we -- we have this information.  
22                  We -- we do use it, but the -- we -- we don't  
23                  plan to wholesale pull the electronic data into  
24                  our model or anything like that.  
25                  And finally there's the data mining workgroup,

1                   which -- which is -- its entire activity is  
2                   really outside the water modeling process.  
3                   That's an agency-administered group and  
4                   (unintelligible) the agency, Sven Rodenbeck  
5                   will be here this afternoon -- I guess via  
6                   phone -- to discuss that, and so I just --  
7                   that's at stage one, obviously. They're  
8                   gathering any information sources that we have  
9                   not necessarily looked at. It's not to say  
10                  that they will provide any type of relevant  
11                  information, but I just wanted to list that so  
12                  you know that's an activity we have  
13                  participated in, but we're not directly  
14                  extracting information from that at this point.  
15                  So the status of the data-related tasks are  
16                  water level data, we've completed the data  
17                  extraction, we've completed QA/QC and our  
18                  electronic databases are about 95 percent  
19                  complete. The water quality data, again we've  
20                  completed the data extraction. We're in the  
21                  process of doing a Quality Assurance/Quality  
22                  Control process over it, and we're also  
23                  extracting the data into electronic databases.  
24                  Well construction data, they're -- it's about  
25                  95 percent complete in terms of extraction.

1           Again, as we extract it we do a QA/QC, but then  
2           we also go back after the entire electronic  
3           database is complete and check it again.  
4           Mass analysis, this would refer to the mass of  
5           contaminant based on water quality data, and  
6           that is needed to ultimately check the  
7           correctness and accuracy of any model that we  
8           do, and that's in progress. We are also  
9           awaiting -- they have provided us some  
10          information, but how the consultants to the  
11          Navy/Marine Corps, they come up with their  
12          estimation for benzene of how much has been  
13          recovered, they use a certain formula. The  
14          last time we talked with them they were putting  
15          together information, a report, for the Marine  
16          Corps that they will share with us exactly what  
17          assumptions they were using and what formulas  
18          they were using to come up with that. We will  
19          not be using that, but that's another reference  
20          point -- okay? -- that we don't have, unlike at  
21          Tarawa Terrace where we had one dry cleaner and  
22          an operational record and knew how much -- how  
23          many gallons a month the dry cleaner used. In  
24          the case of Hadnot Point, we don't know how  
25          many gallons a month or a year were necessarily

1           lost, so we have to look at the relative value  
2           of mass by different methods. So one method is  
3           a method used, formula mandated by the state of  
4           North Carolina. Another method will be based  
5           on the data that we have and using GIS and  
6           three-dimensional contouring. A third method  
7           is the numerical models that we'll be  
8           developing. They will all give different  
9           answers, and you just have to judge -- use your  
10          professional judgment at the end as to do they  
11          fall in the same ball park or is one way out in  
12          left field, and why. And so that's a critical  
13          component of mass analysis with variable  
14          component.

15          Well capacity histories and well pumping is  
16          completed. We've received the report from our  
17          co-operator, Georgia Tech, who developed the  
18          methodology to generate monthly raw water use  
19          or well -- well use. We've reviewed that  
20          report and we're in the process of conducting a  
21          QA/QC on -- on that. That is needed both for  
22          the water distribution model as well as the  
23          transient (indiscernible) transport models  
24          (unintelligible). And in the LNAPL/NAPL  
25          analysis, that's the Benzene -- specifically

1           the Benzene, we have received an initial report  
2           -- concept report from our co-operator, and the  
3           analysis continues with that.

4           So the status of the water models are  
5           groundwater flow model -- and I'll get to the  
6           boundaries in a minute and show you that, but  
7           basically we -- we've got a course pre-  
8           development calibration done by -- by course,  
9           meaning the water's flowing generally in the  
10          direction we think it should flow and things of  
11          that nature, pre-develop meaning before pumping  
12          began. We're doing some fine-tuning now using  
13          water levels. As you can appreciate -- I  
14          showed you before -- we've got several thousand  
15          more than that of just individual water level  
16          measurements. Some are using higher standard  
17          methods, steel tape, draw down. Some are using  
18          air lines. We had that issue with Tarawa  
19          Terrace. So you need to know -- go through  
20          that and what you should base or what weight  
21          you should give to different water levels. You  
22          know, the air line may not be as useful of  
23          water level. We may just use them in a  
24          qualitative sense, so that's what we're going  
25          through and, again, fine-tuning the model with

1                   that.

2                   And since we have the pumping schedule, as I  
3                   showed on the previous, you know, well capacity  
4                   and pumping history, we have the information  
5                   needed to do the transient model from 1941 to  
6                   2007 -- don't quote me on this last date, but  
7                   it'll go into the 2000s -- as pumping was going  
8                   on at the base. So that's -- and that's a  
9                   critical piece of information that we would  
10                  need, so we already have that piece. It's  
11                  ready whenever we get ready to go.

12                  And the reason we need to fine-tune this pre-  
13                  development is if -- if we were -- if pumping  
14                  had not started immediately in 1941, we could  
15                  just start off with some estimate of a water  
16                  level and it would pan out -- in other words,  
17                  it would not have an impact on the model. But  
18                  because pumping started immediately in the  
19                  1940s, we need to have a good starting point, a  
20                  starting point that we're confident in. So  
21                  that's why we're putting a lot of effort into  
22                  the pre-development effort.

23                  Water distribution system model -- as I said  
24                  before, the well chronologies are all done and  
25                  completed. This past month we received

1 additional information on the golf course  
2 watering issue. As you know, previously we did  
3 not have any information on, you know, exactly  
4 how much was used in terms of distribution  
5 system water and so we were going to estimate  
6 it based on water supply wells that they now  
7 use. Previous to the water supply wells going  
8 in, they used finished water. That would have  
9 been a gross assumption. It would have done,  
10 if that's all the information we had, but we  
11 kept looking. It turns out some old golf  
12 course sprinkler maps were found indicating the  
13 type, the manufacturer of the sprinkler, where  
14 the sprinklers were located. Jason has made  
15 some assumptions about how often they were  
16 turned on and off. We're confirming that with  
17 some personnel at Camp Lejeune who have been  
18 there since the late '80s to see if that's a  
19 good assumption, or a valid assumption. But  
20 that does give us a higher level of confidence  
21 now because now the sprinkler heads, we can  
22 total up, and rather than going to the water  
23 supply wells.

24 And that's one of the things that if any  
25 members of the CAP -- Jason has asked me to ask

1           you -- if you have any -- know of any documents  
2           or any pertinent information that we can  
3           actually document as to the operation of the  
4           sprinkler systems on a golf course. My  
5           experience has been -- 'cause I've done some  
6           work down at Eglin Air Force Base -- that they  
7           watered as much as they needed to water to keep  
8           the greens green. Okay? Again, the problem  
9           was that if they knew an officer or a general  
10          wanted to play golf at 10:00 in the morning,  
11          they may turn them on at 6:00 a.m. to get the  
12          greens green so they'd look nice. That's  
13          difficult to put into a model that way, so we  
14          have to make some estimate of that boundary.  
15          And so that's what -- I'm just asking for any  
16          input before we made some final decision as to  
17          that.

18       **MR. ENSMINGER:** We have a former water  
19       treatment plant operator which we will provide  
20       you with his contact information.

21       **MR. MASLIA:** Okay.

22       **MR. ENSMINGER:** And he has a lot of...

23       **MR. MASLIA:** Okay, that's -- that's what we  
24       would like to consider beforehand in looking at  
25       that. Again, it may or may not have an impact,

1           but it's better to consider it beforehand and -  
2           - and go with that.

3           And finally, so -- so the -- basically the  
4           Hadnot Point Holcomb Boulevard interconnection  
5           issue is in progress. That is the water  
6           distribution system model. And we're going to  
7           -- we have the events that were documented in  
8           the water plant utility book, and we have  
9           decided to do it on what we're referring to as  
10          an event-based analysis. When it documents an  
11          event occurred that is an interconnection, we  
12          will do that simulation -- and do that.

13          So -- and finally the reports, Chapter C here,  
14          that's the IR site data, the final edits are  
15          being made. Our contractor, Bob Faye, and I  
16          have been in contact with the cartographers at  
17          USGS and we're hopefully going to have an  
18          electron-- final electronic version, I would  
19          say maybe like the second week in October, on -  
20          - on the website. And then of course we're  
21          sending it to the printers to make hard -- hard  
22          copies, but that's what we're shooting for.  
23          We're doing the final edits on that, so that'll  
24          be out.

25          Chapter B, which is the geohydrologic

1 framework, the draft is in progress. I  
2 envision sending a draft out for colleague  
3 review probably end of November, beginning of  
4 December, for comments and feedback.  
5 And then Chapter D, which is the UST site data,  
6 obviously we're still going through the UST  
7 files and extracting data, but that -- they --  
8 the writing is in progress on -- on that. Once  
9 -- once Chapters B, C and D are -- are done, of  
10 course, then the water -- the chapter on water  
11 level, the chapter on contaminant  
12 concentration, will -- will follow, obviously.  
13 So with that, that's where we stand on that.  
14 Okay, location. Just to go back, what  
15 complicates the Hadnot Point Holcomb Boulevard  
16 from the Tarawa Terrace is there are not any  
17 nice, natural boundaries close in to the areas  
18 of transport -- to these areas right here -- so  
19 we have to take the flow model boundary way out  
20 to the natural mo-- natural hydrologic  
21 boundaries, way out here. So that model is 50  
22 square miles as opposed to two square miles for  
23 Tarawa Terrace.

24 MR. ENSMINGER: Is it in the middle of ~~a~~ New  
25 River?

1                   **MR. MASLIA:** Yes. Yes, that -- that is a  
2 hydrologic boundary. We know what the water  
3 level is. Okay? And that's -- these types of  
4 models that we use are called boundary-valued  
5 models and the whole concept behind it is you  
6 know the value, in theory, at the boundary of  
7 the model, and you're asking the equations to  
8 solve it on the interior. Obviously we don't  
9 know what the value is at these boundaries in  
10 terms of groundwater flow, so that's why --  
11 that doesn't mean we couldn't run a model  
12 there, but we would have a terrible time trying  
13 to justify it, to say what is the groundwater  
14 flow here. So we use this bigger model -- this  
15 is a topographic divide all the way through  
16 here, and we've got data out here to show that,  
17 and this is what we call a specified or  
18 constant hit at -- sea level is zero here. And  
19 so then we will run this model -- then we do a  
20 finer grid in here and we divide the flows  
21 along in here from this bigger model. The  
22 bigger model is -- has cells of 300 feet on a  
23 side and these, because of the transport  
24 requirement -- just like Tarawa Terrace with 50  
25 feet on a side. This is the HP industrial

1                   area, the HP landfill area, and the HP 645  
2                   area. That's just a little closer --  
3                   overlaying the transport grid over the site  
4                   classification, the shaded areas here are the  
5                   IR sites, the squares are the UST sites.  
6                   Okay. I'd like to finish up by just revisiting  
7                   the water modeling time -- time line that we  
8                   presented I think in the April meeting. So  
9                   here we are right here at the end of the fiscal  
10                  year, and in September right here that are data  
11                  extraction source information, groundwater  
12                  modeling ~~at uncertainty~~ and reports as  
13                  generalized topics. Originally obviously we  
14                  wanted to be through sooner than we are now,  
15                  but we are just about complete with all of our  
16                  data extraction and review. We still have some  
17                  work to do on the LNAPL source  
18                  characterization. But again, that does not  
19                  impede progress on the groundwater flow  
20                  modeling at this point. So that's where we are  
21                  with that.

22                  Groundwater modeling, I -- I had indicated  
23                  previously that we would be out here in FY  
24                  2012, and after discussions with Dr. Portier we  
25                  will be trying to get -- we still will be

1           getting some answers to the epi people initial  
2           modeling results during FY-- during summer or  
3           early fall of 2011, and so that -- that we have  
4           committed to them to do. And assuming -- with  
5           a capital A -- there's no more information that  
6           we need to use out there, we will be  
7           progressing with that.

8           We will al-- I'll get into one other aspect.  
9           Multi-phased modeling, again, we have started  
10          on that. It's been put on -- on -- some  
11          initial -- on hold -- multi-phase also includes  
12          the LNAPL density model -- temporarily on hold  
13          because of contractual issues, so -- it's not -  
14          - based on the groundwater flow models, so it's  
15          not pushing them further down the time line.  
16          It's just that if -- we would like to soon --  
17          finish sooner than later, so whenever  
18          contractual issues are resolved, we will pick  
19          back up on it.

20          **MR. ENSMINGER:** Which contractual issues?

21          **MR. MASLIA:** Just the ending of one contract,  
22          the starting of another contract.

23          **MR. ENSMINGER:** Who are you -- who are you  
24          specifically referring to?

25          **MR. MASLIA:** There's the -- there's the --

1           **MR. ENSMINGER:** Georgia Tech?

2           **MR. MASLIA:** -- former Eastern Research Group  
3           contract that ended, and they are -- my  
4           understanding is -- putting out to bid for a  
5           12-month contract. Okay? When that -- when  
6           that contract is awarded, then our co-operators  
7           at Georgia Tech can actually begin -- get back  
8           on the task for the LNAPL Benzene type model.

9           **MR. ENSMINGER:** (Unintelligible) been resolved?

10          **MR. MASLIA:** It's -- it's -- I don't know -- I  
11          don't know, I'm not a contact person. I don't  
12          know the status of that -- that award. Okay?  
13          Let me just -- I've just got one -- one slide  
14          and then I'll -- anyway, I've got the  
15          uncertainty analysis going on here. Again,  
16          that would be a refinement to these initial --  
17          or an understanding of the reliability and the  
18          range of these initial values in here that we  
19          provide to Frank and the epi.  
20          And finally, the reports are ongoing  
21          throughout, and it's the reports really that we  
22          do anticipate to finish all the reports would  
23          go into 2012.  
24          And with that, I think that's -- one final  
25          comment. I indicated in discussions with Dr.

Portier -- he has specifically asked us, and I'm in agreement with this, to see what impact in fact the uncertainty and variability with a source characterization would have on the ultimate health risks. That is, as you know, unlike Tarawa Terrace where we had one source, one location, and we had very good information as to the operation of that source, continuous source, went into a leach field or recharge field. That's not the case at Hadnot Point. It was an industrial operation, and so we had to make different assumptions. Was it a continuous source, was it one time, was it every other month? Well, we can test that out with a simplified approach, it's an analytical model, and use that with some simplified analytical modeling with characterizations -- similar properties, like at the landfill -- and see if in fact varying the source significantly, varying the timing of it, would have a particular impact on the health -- health risk associated with -- with that. In other words, is it -- is it plus or minus ten percent change? That's insignificant. Is it plus or minus 50 percent change? That is

1           significant. And that will tell us if we can  
2           make some assumptions when we go to the big  
3           numerical models, 'cause that's what takes the  
4           time. If we don't know a good characterization  
5           of the source, we have to repeatedly run these  
6           models and estimate how the source went in,  
7           whether it was continuous, whether it was a  
8           pulse, whether it was every other month or --  
9           or what. And on these models that may take a  
10          week to run, that's a lot of effort to do that.  
11          If we can simplify that time based on some  
12          insight -- and that's what we're using it for.  
13          It's not the final answer. The analytical  
14          models give you insight into major parameters  
15          or major assumptions, and so that's -- that's  
16          what we're going to be doing within the next  
17          month or so.

18          **DR. BOVE:** My understanding is what you're  
19          doing with that is putting boundaries on the  
20          estii-- monthly estimates and the time of  
21          arrival.

22          **MR. MASLIA:** We're going to put bounds on -- on  
23          whether -- it's critical that we know every  
24          single month exactly where that source was, how  
25          deep it was, what the operations that caused

1           the spill. In other words, should we just  
2           assume a continuous, ongoing spill, or can we  
3           do one spill at day zero and let it go?

4           **DR. BOVE:** We're still -- we're still talking  
5           about estimating --

6           **MR. MASLIA:** Monthly. Monthly concentra--  
7           it'll give us monthly, but an analytical model  
8           will run in terms of milliseconds as opposed to  
9           in terms of weeks. Okay? And that's good inf-  
10          - that's insight. I repeat that. That --  
11          that's key parameters, insight, to -- to let us  
12          know if it -- if it turns out that it does not  
13          have a significant impact on -- on  
14          concentrations in the '80s or the health risk -  
15          - or the increased health risk, then we don't  
16          have to necessarily devote as much effort into  
17          fine-tuning the source characterization with  
18          the big numerical model. We can just use that  
19          to justify that it's not going to have an  
20          impact, acknowledging that in fact there is  
21          uncertainty. In other words, we're not  
22          eliminating uncertainty and we're not down-  
23          playing it. We're just -- we want some input  
24          to ourselves as to what -- what -- because that  
25          is one -- one of the things we have to deal

1                   with at Hadnot Point Holcomb Boulevard is this  
2                   whole area of source characterization which was  
3                   not as big of an issue at Tarawa Terrace.

4                   **MR. STODDARD:** Terry, could you hold on a  
5                   second?

6                   Before we get into questions, we've had another  
7                   person join us at the table. Would you give us  
8                   your name, what organization you're with and  
9                   what role you play?

10                  **DR. WALTERS:** Push the red button, right?

11                  **MR. STODDARD:** Push the red button before you  
12                  speak.

13                  **DR. WALTERS:** My name's Terry Walters, Dr.  
14                  Terry Walters. I'm from the VA, environmental  
15                  hazards, and I'm new to the EPAVA, just retired  
16                  from the Army, 30 years as a physician in the  
17                  Army, so I joined the VA in the last two  
18                  months.

19                  **MR. STODDARD:** Okay. Thank you. And a  
20                  comment for the folks on the phone, somebody's  
21                  got some noise going on in the back of the  
22                  phone, so if you could mute while you're not  
23                  talking.

24                  **MR. MASLIA:** I'm open to questions at this  
25                  point.

1           **MR. ENSMINGER:** Well, Morris, you're talking  
2           about the source stuff --

3           **MR. MASLIA:** Source characterization?

4           **MR. ENSMINGER:** Yeah.

5           **MR. MASLIA:** Yes.

6           **MR. ENSMINGER:** And it does help to know about  
7           all the sources of contamination as well.

8           Right?

9           **MR. MASLIA:** I'm not saying that that's -- no,  
10          that's not the issue I'm addressing. I'm not  
11          saying not knowing the contaminant source, but  
12          in the stated transport models, like the one  
13          that we used at Tarawa Terrace, the -- us,  
14          meaning the people who are using the model  
15          development -- have to tell the model where the  
16          source was, when it started, what the strength  
17          was, and -- and all that. Now there are  
18          techniques to back that out in reverse. But  
19          again, that would depend on having a whole lot  
20          of historical information, which we also don't  
21          have. Okay? So the key is, we want to be able  
22          to provide you results no later than summer of  
23          20-- initial results summer of 2011. We don't  
24          have another five years to come up with the  
25          ultimate source characterization, and so that's

1           why we're looking at some other methods to  
2           provide us with insight that maybe we -- we  
3           could either build confidence in what we're  
4           doing, or tell us it's not an important issue,  
5           that we can make a -- a conservative assumption  
6           that it was continuously ongoing, or that every  
7           other month it spilled and that's a better  
8           assumption. And the simple analytical models -  
9           -- we've used those through our dose  
10          reconstruction program at other sites and stuff  
11          like that. That's what the purpose of what we  
12          might call screening level models or analytical  
13          models. The purpose of those are to give you  
14          insights of the key parameters, not -- not give  
15          -- not give you the answer of where every drop  
16          of contaminant moved for 41 years. Okay?  
17          That's not the purpose of those models. Again,  
18          we did not need to do that at Tarawa Terrace  
19          because we had one dry cleaner --

20         **UNIDENTIFIED:** Can I ask you a question?

21         **MR. MASLIA:** -- we had depositions, and we had  
22          an estimated volume that the dry cleaner used  
23          each month.

24         **MR. PARTAIN:** Morris, this is Mike Partain.  
25          Going back to -- with the different

1           contamination sources at -- present on the  
2           base, we've got the vehicle maintenance shops,  
3           we've got the fuel farm, we've got -- you know,  
4           everything under the sun in the Hadnot Point  
5           industrial area. Has the Navy and Marine Corps  
6           provided ATSDR with any mass estimates of what  
7           they have found in the ground at Hadnot Point?

8           **MR. MASLIA:** From the -- at the HP fuel farm we  
9           do have -- we keep getting updated estimates.

10          **MR. PARTAIN:** What is the current mass estimate  
11         at the fuel farm?

12          **MR. MASLIA:** It's a little over 400,000  
13         gallons.

14          **MR. PARTAIN:** Okay.

15          **MR. MASLIA:** Now that's since -- since time  
16         began, so to speak.

17          **MR. PARTAIN:** Is that the recovery or the  
18         actual --

19          **MR. MASLIA:** That's recovery. That's recovery.  
20         Now, one may assume -- if you go through the  
21         literature, American Petroleum Institute or  
22         other professional documents -- that recovery  
23         rates can be anywhere from 40 percent to 70  
24         percent efficient.

25          **MR. PARTAIN:** Okay.

1           **MR. MASLIA:** So divide it by 40 or 70 percent  
2           and you'll get an estimate of what was  
3           originally lost. Again, that's one estimate,  
4           and that's why it's critical that we have  
5           different methods of estimating the mass, so --

6           **MR. PARTAIN:** But have they estimated the  
7           actual product lost in the ground? Has the  
8           Navy and Marine Corps come up with --

9           **MR. MASLIA:** Not -- not -- the only official --  
10          I say official, the only documented amount are  
11          the 20 to 50,000 gallons that are in earlier  
12          documents.

13          **MR. PARTAIN:** Their inventory records.

14          **MR. MASLIA:** Yeah, inventory --

15          **MR. PARTAIN:** What about inventory records for  
16          TCE and PCE?

17          **MR. MASLIA:** I'll have to get back to you on  
18          that.

19          **MR. PARTAIN:** Okay. And another thing that I'm  
20          seeing, and Jim --

21          **MR. ENSMINGER:** What about building 1115?

22          **MR. PARTAIN:** Okay. There's no -- in the UST  
23          documents that we've been looking through  
24          there's discussion about weather and fuel. And  
25          understanding, too, that we have different

1           types of fuel that have been lost at Hadnot  
2           Point -- we have leaded gasoline, unleaded  
3           gasoline, what -- JP-5, JP -- diesel, and what  
4           about weathering? Are you able to extrapolate  
5           by the residual compounds the presence of the,  
6           you know, different constituents gasoline of  
7           what was there or how much was there?

8       **MR. MASLIA:** Or at this point I think, for  
9           simplicity, to get the model going, we're going  
10          to assume the most of it was gasoline-type.  
11          That would contain the highest concentration of  
12          benzene. In other words, if we start going  
13          into different grades and types of fuels, we  
14          will then add a significantly more complex  
15          layer to the modeling of actually having to do  
16          chemical reactions within the model codes, and  
17          --

18       **MR. PARTAIN:** What about duration, though --

19       **MR. MASLIA:** What?

20       **MR. PARTAIN:** What about duration? Like, for  
21          example, building 1115 was operational back in  
22          the 1950s. They're using --

23       **MR. MASLIA:** This -- the operations --

24       **MR. PARTAIN:** -- forties.

25       **MR. MASLIA:** -- are on top of -- what we're

1                   going to give -- you're -- and you're talking  
2                   about a vapor intrusion issue now. Okay? To  
3                   do vapor intrusion into any building, not --

4                   **MR. PARTAIN:** Actually I'm not -- I'm not --

5                   **MR. MASLIA:** -- (unintelligible) --

6                   **MR. PARTAIN:** -- we're not talking about vapor  
7                   intrusion. What I'm talking about is --

8                   **MR. ENSMINGER:** We're talking about another  
9                   site that we had -- you guys didn't know about,  
10                  we didn't know about --

11                  **MR. MASLIA:** Building 1115 is --

12                  **MR. ENSMINGER:** Yeah, that -- that is less than  
13                  300 feet from the damned operational well.

14                  **MR. MASLIA:** Right.

15                  **MR. ENSMINGER:** I mean the fuel farm was 1,300  
16                  feet.

17                  **MR. MASLIA:** When we do the modeling --

18                  **MR. ENSMINGER:** That would bring seven more  
19                  underground storage tanks --

20                  **MR. MASLIA:** Again, I need to -- I need to  
21                  clarify is we're not modeling building or  
22                  operational facility use. We are modeling  
23                  contaminant movement in the groundwater. So if  
24                  there's a source there, we include it in the  
25                  model. The source is included. How the

1                   building was used is really, from the  
2                   standpoint to get the monthly concentrations,  
3                   are immaterial to us.

4                   Now, if you're looking at an exposure pathway,  
5                   that -- that's a -- that -- they will need the  
6                   results that we come up with, and -- anyway,  
7                   but if there's a source there, it's included in  
8                   the model. Now whether we lump several sources  
9                   together -- in other words -- that'll depend  
10                  on, again, our calibration process. It may be  
11                  that, in reality, sources were spilled at  
12                  different points in time. It may be from the  
13                  assumptions that we make in the groundwater  
14                  modeling standpoint and the resolution of our -  
15                  - our grids and all that, that we can lump it  
16                  all together as to one particular source at one  
17                  lo-- one location. I can't answer that at --  
18                  at this point. That's another --

19                  **MR. PARTAIN:** Well, Morris that was my --

20                  **MR. MASLIA:** -- reason for looking at using  
21                  some of these -- using a screening-level model.  
22                  That's one of the things we can address with a  
23                  screening-level model, how important that is  
24                  out at the depths of it, how important is a  
25                  multiple source versus a single source.

1           **MR. PARTAIN:** Well, it goes back to my point  
2           about the weathering, the concern -- I guess  
3           the question -- that I'm getting at there with  
4           the weathering is the duration of the  
5           contamination. If we're looking just at  
6           gasoline, ignoring the fact that there's leaded  
7           gasoline in there which was used during 1940s,  
8           '50s, '60s and early '70s, we may be missing  
9           out on the beginning -- the actual -- the  
10          accurate beginning date of the contamination.  
11          'Cause if we've got a lot of leaded fuel in the  
12          ground, well, it indicates that this is an  
13          older event than it would be if we had all  
14          unleaded gasoline. Am I correct in saying  
15          that?

16          **MR. FONTELLA:** Jim Fontella. The gas station  
17          in building 1115 was closed in 1965. That was  
18          all leaded gasoline that was dumped into the  
19          ground. Right? So that should -- and I'm not  
20          sure quite the amount of fuel at that source --  
21          I mean you would know that -- but I mean that  
22          should make a difference, and does that change  
23          the modeling at all --

24          **MR. MASLIA:** Again -- again --

25          **MR. FONTELLA:** -- (unintelligible) levels of

1           the lead --

2       **MR. MASLIA:** -- because we don't --

3       **MR. FONTELLA:** -- (unintelligible).

4       **MR. MASLIA:** -- have direct documentation of  
5       source characterization, when each of these  
6       events occurred, what we use is available water  
7       quality data. Once a source gets into the  
8       ground, if we're measuring something 40 years  
9       later, when we run the model we still have to  
10      match at the end. Okay? And -- and -- but we  
11      have to look at different ways of providing us  
12      some quantitative indication of what was there,  
13      mass cal-- computations. We have to go through  
14      and add up over time how much mass has either  
15      been removed in the ground and see if that's  
16      greater than what the numerical model is giving  
17      us. The numerical models when we run it come  
18      out with a mass -- each year, each month -- and  
19      you total that up. Is that less or more than  
20      we're computing by hand based on doing these  
21      individual things?

22      **MR. FONTELLA:** But you would have to know that  
23      this fuel was in the ground before 1965. I  
24      mean that gives you a --

25      **MR. MASLIA:** Right, yes.

1                   **MR. FONTELLA:** -- great starting point to even  
2 go backwards.

3                   **MR. MASLIA:** Right, right. And we're starting  
4 in 1941, though. We're starting the model in  
5 1941. Okay? That's -- that's the whole thing.  
6 We're starting the modeling in -- if I didn't  
7 make that clear -- in -- or when the base first  
8 started, and that is why we spent a lot of time  
9 on trying to get a steady-state calibration  
10 when -- before pumping ever started, because  
11 we're starting so early. If we didn't want to  
12 look till 1950 or '60, it wouldn't matter if we  
13 were off by ten feet of water level in 1940.  
14 It would -- it would -- these models would --  
15 would settle -- settle out any differences.  
16 But we're starting off putting the supply wells  
17 in -- and 1941 I think is the first one, and  
18 pumping them, and then we will have to put in  
19 different types of sources. And again, if one  
20 thing -- this is why we need some external or  
21 additional information from like a screening-  
22 level model. It may not be -- it may or may  
23 not, I'm not telling you now. I don't have the  
24 answer. It may or may not be a critical factor  
25 whether the source was continuously leaking

1           into the groundwater or was just intermittently  
2           spilled. And that's insight that screening-  
3           level models can provide you, and it can  
4           significantly shorten the effort, in other  
5           words. Not necessarily in terms of our  
6           finishing it, but in giving us some assurance  
7           that we've got the best model that we can,  
8           given the amount of data -- or lack thereof --  
9           that -- that we have. So we're nowhere near  
10          that point yet of actually starting those --  
11          the sophi-- numerical model fate and tran--  
12          transport type stuff. So our approach is to  
13          start simple first. Okay? And see if the  
14          model is making sense.

15       **MR. ENSMINGER:** What -- what type of forensics  
16          are available today for the aging of fuel  
17          products in the ground? I know you -- you can  
18          age chlorinated solvents by the daughter  
19          products and breakdown of them. What's --  
20          what's available today for fuel?

21       **MR. MASLIA:** I don't have any answer to that.  
22          That's --

23       **MR. ENSMINGER:** Well, we know that they were  
24          using 1,2 dichloroethane in the old fuels back  
25          in the day, and the 1,2 dichloroethane levels

1           in the groundwater in and around the Hadnot  
2           Point fuel farm are off the scale. So -- I  
3           mean that's old fuel. And -- I mean you can —  
4           — 1.<sup>+2</sup> dichloroethane is a chlorinated solvent.  
5           Correct?

6           **MR. MASLIA:** Correct.

7           **MR. ENSMINGER:** It's going to break down.  
8           Right?

9           **MR. MASLIA:** Right.

10          **MR. ENSMINGER:** We can age that.

11          **MR. MASLIA:** I -- I think the issue is, Jerry --  
12          again, you're dealing on a much, much more  
13          micro level than we ever, ever are planning to  
14          do or ever will have time to finish, and -- and  
15          -- okay? In other words, we will do  
16          degradation products. In other words, we will  
17          degrade PCE -- we will degrade TCE and we -- we  
18          have a variety of simple, complex fuel models  
19          to use. But again, I -- I think we -- we need  
20          to look at what -- what we can accomplish,  
21          given the time and budget that -- that we have.  
22          And do -- do that, because we literally could  
23          be doing all types of aging analysis and  
24          breakdown analysis for the next ten -- ten  
25          years.

1           **DR. BOVE:** And the key thing here is that the  
2           contaminants we're focusing on -- benzene, TCE,  
3           PCE, vinyl chloride -- will cover the health  
4           risks -- health outcomes, the universe, pretty  
5           well. You're not going to get additional work  
6           out of 1.~~+~~2 dichloroethane. Unless you're  
7           looking at particular -- even -- even if you're  
8           looking at particular birth defects. In the  
9           New Jersey study 1.~~+~~2 dichloroethane was  
10          associated with a few birth defects, but so was  
11          TCE and PCE, so you really even -- no matter  
12          what you do, you will be able to cover the  
13          health outcomes of these contaminants.  
14          One -- just one thing I wanted to say earlier  
15          was it's not health risks that you're talking  
16          about. You're talking about estimates of -- of  
17          contamination. Health risks has another  
18          connotation, which --  
19          **MR. MASLIA:** Well, we -- we have --  
20          **DR. BOVE:** -- takes into account --  
21          **MR. MASLIA:** -- we have --  
22          **DR. BOVE:** -- no, no, it (unintelligible)  
23          disease potency --  
24          **MR. MASLIA:** Okay, yeah, I can't  
25          (unintelligible) --

1           **DR. BOVE:** -- a real risk estimate.

2           **MR. MASLIA:** Yeah.

3           **DR. BOVE:** Say your risk is ten to the minus  
4           four --

5           **MR. MASLIA:** Right.

6           **DR. BOVE:** -- you're not saying that.

7           **MR. MASLIA:** No.

8           **DR. BOVE:** You're focusing on exposure.

9           **MR. MASLIA:** Yes. Yes.

10          **DR. BOVE:** And -- and contamination in the  
11          drinking water.

12          **MR. STODDARD:** Okay. Any other questions for  
13          Morris?

14          **MR. PARTAIN:** Yeah, Morris, going back to  
15          building 1115 again, we had seen chlorinated  
16          solvents in and around this site. Do we have  
17          any explanation of where they're coming from,  
18          as far as the source location in that complex?

19          **MR. MASLIA:** Not -- not -- not at this time,  
20          but again, I have not had a real opportunity to  
21          actually delve down -- down -- and they were  
22          still extracting information and all that.  
23          That we will address, I'm sure, just like we  
24          did at Tarawa Terrace with (unintelligible)  
25          report of the data groundwater contamination.

1           There will be a report that's planned for this  
2           area that will take all the data that's  
3           presented -- or take data presented in Chapter  
4           B, Chapter C, Chapter D, and then present some  
5           scenarios that we believe explain the  
6           contamination. And you need that anyway before  
7           you -- you really get into the fate and  
8           transport model because you've got to tell it  
9           where the sources are. Again, you have --  
10          that's one of the inputs to these numerical  
11          models is we have to tell it where the source  
12          is and characterize the source. So there's --  
13          our report is an analysis planned for that and  
14          that's where we will postulate, like we did  
15          with Tarawa Terrace, where the contaminant  
16          sources are. In other words, take all the data  
17          that's presented in the Chapter C, Chapter B  
18          report and all that, and sort of boil it down  
19          into a flow -- flow concept.

20          **MR. PARTAIN:** And do you have any -- on the  
21          seven UST tanks at building 1115 that was  
22          located next to well 602, after '65 do we have  
23          any documentation of what those tanks were  
24          being used for, whether they were emptied and  
25          filled or what the Navy did with them?

1           **MR. MASLIA:** I could not answer that at this  
2 point.

3           **MR. PARTAIN:** Okay. 'Cause I know they  
4 remained interconnected to the main fuel farm  
5 storage facility up until --

6           **MR. MASLIA:** Right.

7           **MR. PARTAIN:** -- they were (unintelligible).

8           **MR. ENSMINGER:** The question is, how could they  
9 shut the valves off --

10          **MR. STODDARD:** Jerry -- Jerry, could you use  
11 your mic please?

12          **MR. ENSMINGER:** The big question is, when they  
13 discontinued the use of that facility as a  
14 fueling point, either for government vehicles  
15 and then their later subsequent use of it for a  
16 PX -- PX gas station until 1965, the fact  
17 remains it was interconnected to the main fuel  
18 farm by a 3-inch pipeline. We already know  
19 that all the pipes and valves were completely  
20 buried at that Hadnot Point fuel farm, so that  
21 tells me that any valve that was open remained  
22 open for decades. It was a direct feeding line  
23 to those tanks.

24          **MR. MASLIA:** Well, that -- again, that -- that  
25 is -- in terms of a modeling thing where we

1 decide whether we want a continuous leak, we  
2 need to establish a rate and all that, but  
3 that's -- that's part of, again, translating  
4 the field data into modeling assumptions.

5                   **MR. STODDARD:** Okay, it's 11:25 and we're about  
6                  40 minutes over on our agenda so far, so I'm  
7                  going to ask that we call the questions at this  
8                  point. If you have any questions, if you would  
9                  take them to Morris off-line, that'd be great.  
10                 At this point I -- well, first I want to say,  
11                 has anybody joined us on the phone? I've heard  
12                 a couple of beeps there?

13 (No response)

14                   **MR. STODDARD:** Sandra, Devra, have you joined  
15                   us?

16 (No response)

17                   **MR. STODDARD:** No. Okay. We're going to  
18                   change the agenda a little bit here. We're  
19                   going to move the NRC report conversation to  
20                   after the data mining workgroup conversation so  
21                   we can get to lunch on a re-- before the crowds  
22                   descend.

## VA DISCUSSIONS

24 So at this point we're going to move to the VA  
25 discussions. And Bradley and Terry, you have

1                   something that you're going to share, then  
2                   we'll do some Q and A. Is that correct?

3                   **MR. FLOHR:** Yeah, I think that's it -- what the  
4                   plan is.

5                   Okay, this is Brad Flohr, Compensation and  
6                   Pension Service in Washington, and I was here  
7                   in -- when was it, March or April? The last  
8                   CAP meeting, April? I did have some take-aways  
9                   from that.

10                  I think Mr. Byron had some -- some concerns,  
11                  some things he was hoping to get achieved. We  
12                  did -- one was a way to track claims and  
13                  decisions on claims that had been filed based  
14                  on exposure at Camp LeJeune. We did ask our  
15                  Regional Offices to track those claims that had  
16                  been granted. Some of our offices did more in-  
17                  depth tracking than others, just -- just by  
18                  hand. And last week at the hearing on Capitol  
19                  Hill, Tom PamperinDanfern (ph), who's one of my  
20                  bosses, gave some information on that. And  
21                  generally we know approximately 200 claims that  
22                  have been -- most of them decided, some of them  
23                  still pending, not very many. And about 20  
24                  claims have been granted.

25                  Now the diseases associated with those grants

1 have been renal cancer, multiple myeloma, non-  
2 Hodgkin's lymphoma, there have been even a  
3 couple of grants of prostate cancer. And I  
4 think I described -- at least I hope when I was  
5 here in April described the claims process as  
6 being one which is based on evaluation of  
7 evidence. And part of that evidence is going  
8 to be a medical opinion. If we get a medical  
9 opinion associating a particular disease with  
10 exposure to the contaminated water at Camp  
11 Lejeune, unless there's some other evidence  
12 that would outweigh that, generally that's  
13 going to be a grant.

14 And we know of those that have been denied,  
15 it's one of either three reasons. Either the  
16 person wasn't at Camp Lejeune during the years  
17 when the water's contaminated; they don't have  
18 a disease; or they do not have a favorable  
19 medical opinion. That would be the basis for  
20 the denial of those claims.

21 As I said, there's no presumptions for any  
22 exposures. There have been -- as you're well  
23 aware, there have been initiatives on the Hill,  
24 legislation introduced, that has not so far  
25 gotten anywhere in terms of providing medical

1                   care for veterans and dependents. Senator Burr  
2                   has recently put into the National Defense  
3                   Authorization Act, which also has not passed  
4                   yet but is -- is pending, legislation that  
5                   would create more studies on environmental  
6                   exposures, including Camp Lejeune. That's  
7                   where we are with that.

8                   I understand there is an EPA assessment coming  
9                   out. We will look at that. In terms of  
10                  presumptions, Congress can make a presumption.  
11                  The Secretary of Veterans Affairs can make a  
12                  presumption if scientific and medical evidence  
13                  warrants such a decision on his -- on his part.  
14                  So we do -- do look at medical and scientific  
15                  evidence that is new, discuss it, make it  
16                  available. I was talking with Jerry earlier.  
17                  That type of information, if it comes out,  
18                  would be good information for those physicians  
19                  in our VA Medical Centers that provide  
20                  opinions. We'll make them aware of any changes  
21                  to -- to the categorization of the contaminants  
22                  and what they're associated with.  
23                  We are also working right now, hopefully we'll  
24                  have it completed in a very short time. We're  
25                  working at developing what we call a claim

1                   label in our -- in our decision-making systems  
2                   so we'll be able to -- whenever we get a claim  
3                   from someone based at Camp Lejeune, we'll tag a  
4                   claim label with it so we'll be able to track  
5                   each and every claim and the outcome of those  
6                   claims electronically rather than what we're  
7                   doing now, which is manually. That should be  
8                   very -- very -- shortly.

9                   Terry, do you...

10                  **DR. WALTERS:** Brad, have you talked about the  
11                  training letter that went out to your VA claims  
12                  --

13                  **MR. FLOHR:** Well, I sent --

14                  **DR. WALTERS:** -- since it sensitizes --

15                  **MR. FLOHR:** -- (unintelligible) to -- to Perri  
16                  when it went out. We did post our website and  
17                  sent it out to all of our Regional Offices. It  
18                  was multiple exposures, but it did also contain  
19                  information about Camp Lejeune. The initial  
20                  letter that went out did not mention benzene,  
21                  through oversight. We changed that  
22                  immediately, introduced -- or put the fact that  
23                  benzene was in the water in the training  
24                  letter. So it's out there and we've posted it  
25                  everywhere, basically. We've sent it to

1                   Capitol Hill, we sent it to the Senate and the  
2                   House. Everyone has seen it.

3                   **MR. FONTELLA:** Jim Fontella. Brad, I'd like a  
4                   copy of that as well. But one thing I want to  
5                   mention as far as the training letter goes is,  
6                   along with omitting the benzene, was the fact  
7                   that the NRC report was stated in that training  
8                   letter, and what the NRC report said and the  
9                   language that was used in it was that basically  
10                  there's no way to prove that there was anybody  
11                  who was affected by the contamination. So it  
12                  was a sabotage, really. I mean when you --  
13                  when you go into a training letter that's  
14                  supposed to tell your ROs across the country  
15                  that these people were affected, and at the  
16                  same time -- you're saying they were possibly  
17                  affected, which is fine, they have to prove  
18                  that. But at the same time you're telling them  
19                  that there's no way that they can prove it. So  
20                  I mean it's -- I don't get it. We're -- how  
21                  did the -- and I asked you that question when I  
22                  was in the audience last time about a claim  
23                  that was denied, and on the statement of the  
24                  claim it said that there's no proof that you  
25                  were -- and the wording exactly mirrored the

1           NRC, and I asked you if you were using that,  
2           and you said no, that that information was on  
3           the Internet; that if a RO was to see that on  
4           the Internet, he might be able to use that as a  
5           judgment in a claim, but you never mentioned  
6           anything that that was going on in the training  
7           letter. And that is terrible. If you look at  
8           the benefit of the doubt, how many claims that  
9           there was an -- if -- you know, which way can I  
10          take this with the scales are going this way --  
11          when you use that, he's gone, he's screwed, he  
12          or -- he or she. They're going to use that as  
13          a way to judge that claim and force this guy  
14          into an appeal, which may take another two or  
15          three years or whatever. I mean that needs to  
16          be addressed as well.

17          Besides of the fact that all of the fuel that  
18          was found since that training letter was out.  
19          They omitted benzene to start with, and now we  
20          know that there was just gazillions of gallons  
21          in the water. So I mean how do we address that  
22          --

23          **MR. STODDARD:** Tom --

24          **MR. FONTELLA:** -- factor now? That's been out  
25          there for...

1           **MR. STODDARD:** Tom Sinks would like to...

2           **DR. SINKS:** No, I've got a question for Brad.

3           **MR. STODDARD:** Oh, you've got a question.

4           Sorry.

5           **MR. FLOHR:** Jim, I think the letter did -- does  
6           include benzene, the fact that it was there.

7           **MR. FONTELLA:** The new one, but I haven't seen  
8           that one. I only -- I've seen the first one  
9           that doesn't include benzene. But what about -

10           -

11           **MR. MENARD (by Telephone):** I got it -- I've  
12           got that on my computer.

13           **MR. FONTELLA:** Well, I need to see that, Allen.

14           **MR. MENARD (by Telephone):** Okay.

15           **MR. FONTELLA:** I'd appreciate it if I could get  
16           a copy of that. But what about the NRC report?  
17           Even if you didn't mention benzene into it,  
18           it's still a level playing field with the --  
19           with the veteran who files the appeal. But now  
20           that you in-- you know, that you inserted that  
21           NRC study in there, that takes that -- that  
22           tilts it more towards the VA when it comes down  
23           to --

24           **MR. FLOHR:** I don't think the training letter  
25           specifically says that the NRC is the holy

1                   grail of making claims or decisions -- in fact,  
2                   it doesn't.

3                   **MR. FONTELLA:** No, but it does say that there's  
4                   -- really there's no way to -- to say that  
5                   these -- that these levels were high enough  
6                   that these -- I've got it here somewhere. I  
7                   won't take the time to reach through these --  
8                   all this paperwork here. I've got a copy of  
9                   it.

10                  **MR. FLOHR:** As I said --

11                  **MR. MENARD (by Telephone):** I think what Jim is  
12                  trying to say is that that's very unfair to the  
13                  veteran that is making the claim, especially  
14                  when there's a contradiction about the NRC  
15                  report. Even Dr. Portier said the fact that --  
16                  he don't agree with the NRC report. He thinks  
17                  it's wrong. So you know, Brad, I would ask you  
18                  to eliminate it from your training letter,  
19                  period.

20                  **MR. STODDARD:** Can we let Brad respond to that?

21                  **MR. FLOHR:** We'll take a look at that, the NRC  
22                  report. We did form a workgroup, we reviewed  
23                  it, we made recommendations which the  
24                  Secretary's office has -- I'm not sure if he  
25                  himself has seen it yet, but he will see it.

1           And the N-- as I said, the NRC report is just a  
2           report. It did not include benzene in the  
3           report so it's a -- I would say, you know, it's  
4           not a be-all and end-all. Cases we have  
5           granted, as I described in the claims process,  
6           is based on medical evidence provided by a  
7           competent author-- medical authority, related  
8           the disease with exposure to contaminated water  
9           at Camp Lejeune.

10          **MR. FONTELLA:** Yes, I -- Jim Fontella, I  
11          understand that, and I believe that a claim has  
12          to be grounded. A person has to go right  
13          through the channels and do things at -- the  
14          three steps that you mentioned at your -- at  
15          your -- when you talked about the VA claims the  
16          last time you were here. But still -- well,  
17          the point that I'm trying to make is when you  
18          look at a 50-50 thing, when the evidence for is  
19          equal to the evidence against, when a person in  
20          an RO, an investigating officer is looking at a  
21          claim and he's wondering well, do we -- you  
22          know, do they have enough evidence or odds are  
23          just against -- and they see the NRC report  
24          where -- and the wording that it states in your  
25          educational letter, they're going to turn that

1           claim down. There's no question about it. I  
2           mean the way -- the way it's worded used  
3           specific language in there that there's no way  
4           that they can take -- again, I have it in my  
5           paperwork here but I don't want to, you know,  
6           dig through that. I mean they're going to be  
7           throwing people down a road. They're going to  
8           send -- the VA, as you know, is a tough road to  
9           get through to start with. I mean everything -  
10           - you go through the letter of the law.  
11           Everything has to be perfect in order for this  
12           person -- one little flaw, one wording in  
13           there, and it could change the whole outlook of  
14           this claim. And with that NRC report in there,  
15           they're -- they're going to send it down the  
16           road. I mean that's just the way it is.  
17           That's the way I see it personally.

18           **MR. FLOHR:** I don't see it that way. I  
19           disagree with you.

20           **MR. FONTELLA:** Well, you're on the other side  
21           of the fence.

22           **MR. FLOHR:** No, you don't understand. I'm not  
23           on the other side of the fence. I'm on your  
24           side.

25           **MR. FONTELLA:** But I -- even though -- you

1           could have left benzene out of the training  
2           letter altogether and just not put the NRC  
3           report, then we're just back where we started  
4           from, which is fine 'cause we know what it  
5           takes to -- to have a good claim.

6       **MR. STODDARD:** Bradley, perhaps you could  
7           explain why you're on that side of the fence  
8           with Jim.

9       **MR. FLOHR:** Why I'm on that side of the fence?

10      **MR. STODDARD:** How it is that you're on Jim's  
11           side of the fence?

12      **MR. FLOHR:** Because I'm on everybody's side of  
13           the fence. Our job is to provide benefits to  
14           those who are -- who are eligible, determine  
15           that they're eligible, and we assist people in  
16           -- in doing that at every stage of the claims  
17           process. We have a duty to assist the  
18           statutory -- develop evidence and we -- our  
19           only -- there's only one way we deny a claim,  
20           and that's where the evidence clearly, against  
21           the claim, outweighs the evidence in favor of  
22           the claim. There has to be more evidence  
23           against the claim before it's denied. If it's  
24           -- if there's more evidence in favor of the  
25           claim, or if the evidence in favor of the claim

1           is the -- is the same weight or is as much as  
2           the evidence against the claim, that's a grant  
3           also -- reasonable doubt.

4           **MR. FONTELLA:** Yes, sir, but the NRC study  
5           shows no --

6           **MR. FLOHR:** The NRC study is really not --

7           **MR. FONTELLA:** It shows no favor towards the  
8           veteran, none at all.

9           **DR. WALTERS:** I can say in the task force that  
10          Brad just mentioned -- and that predated my  
11          time but I have seen -- I have seen portions of  
12          it, and the deliberations, the NRC was only one  
13          document. There were --

14          **MR. FLOHR:** And it is a flawed document.

15          **DR. WALTERS:** And it was -- and it was  
16          recognized at the time that, because it did not  
17          include benzene, that it was a profoundly  
18          flawed document. Although the materials that  
19          we used were occupational medicine research on  
20          similar episodes, you know, in the literature  
21          in the past, there is the ~~IRA'S\*IARC~~ report  
22          that is going to come out that is going to  
23          declare benzene a known human carci-- TCE,  
24          excuse me -- a known human carcinogen for  
25          kidney carcinoma, so there was a wide variety

1                   of materials. And if you look at Secretary  
2 Shinseki's most -- you know, his  
3 administration, there has been a leaning  
4 forward towards adjudicating for veterans. I  
5 can personally tell you that he has taken an  
6 incredible amount of heat from the Hill,  
7 budgetary heat mostly, from his most recent  
8 Agent Orange decision. So -- and you all know  
9 Secretary Shinseki's personal history. He is  
10 on the side of veterans.

11                  So this task force report is going to him. He  
12 has not yet signed it so I cannot let you know  
13 the results of it. But it clearly -- clearly  
14 sensitizes the VA to the plight of everyone who  
15 has had an environmental exposure. And so I  
16 think we can -- I understand your -- your  
17 issues, but clearly we are leaning forward and  
18 going -- if there was an environmental  
19 exposure, we think of the veteran's plight  
20 first rather than our -- than the government's  
21 fiscal plight.

22                  **MR. BYRON:** This is Jeff Byron. I think what  
23 might resolve this a little is when you drafted  
24 this training letter and before you sent it to  
25 your facilities, what involvement did DoJ or

1                   DoD and the Department of Justice and the JAG  
2                   office from --

3                   **DR. WALTERS:** I don't know.

4                   **MR. BYRON:** Is there any involvement at all?  
5                   Because before any report has been written it  
6                   has to be vetted through the Marine Corps'  
7                   lawyers, and I think that was one of the points  
8                   that Mr. Miller in the hearing brought up. You  
9                   stated you don't have lawyers present with you,  
10                  but there's many in the audience that are with  
11                  you, so the point is --

12                  **MR. FLOHR:** The training letter -- Jeff, the  
13                  training letter contains a lot more  
14                  environmental exposures than just Camp Lejeune,  
15                  so we have what's called a departmental health  
16                  working group that meets every month with DoD -  
17                  - a joint DoD/VA --

18                  **DR. WALTERS:** And I'm one of the co-chairs of  
19                  that.

20                  **MR. FLOHR:** One of the co-chairs, I'm also on  
21                  the group, and as certainly a courtesy to DoD,  
22                  because it impacts them -- things like this --  
23                  we did share our training letter with them, got  
24                  their concurrence on it.

25                  **MR. BYRON:** And that's -- that's the problem.

1           You've got to get their concurrence before you  
2           can help the VA?

3       **MR. FLOHR:** Not -- not to the point where they  
4           have to concur or else we're not going to put  
5           it out. No, it's a matter of -- of just, you  
6           know, sharing with them for their information.

7       **MR. ENSMINGER:** You know I -- I look at the VA  
8           as, you know -- being a retired military  
9           person, I see the VA as akin to our -- our  
10          supply within the military. And you go down to  
11          your unit supply to check out some equipment  
12          that they're supposed to be maintaining for  
13          you, and when you walk up to the counter the  
14          supply chief goes 'Ah, you want my equipment?  
15          No.' Well, really it's mine. You're just  
16          maintaining it for me. Okay? But I see the VA  
17          as the same thing. They're a service  
18          organization that was created to serve  
19          veterans. But they have since evolved into  
20          'Well, let's make this guy jump -- or a gal  
21          jump through hoops first before we provide them  
22          the services, and maybe we can discourage  
23          them.'

24       **MR. FLOHR:** Well, I'm sorry you have that  
25          opinion 'cause that's totally false.

1           **MR. PARTAIN:** I want to -- excuse me, I want to  
2 make a point, please.

3           **MR. STODDARD:** Tom -- Tom's been in queue for a  
4 long time.

5           **MR. PARTAIN:** Okay, you got your thing on, too?

6           **DR. SINKS:** I was well ahead of you, buddy, on  
7 this one.

8           **MR. PARTAIN:** I'm bigger than you, though.

9           **DR. SINKS:** I've just got three things. First  
10 of all --

11          **MR. STODDARD:** This is Tom Sinks.

12          **DR. SINKS:** Tom Sinks, thanks. Really want to  
13 extend our thanks to the two of you for coming  
14 here and putting up -- I'm sorry, sitting here,  
15 working with these guys and us in terms of your  
16 volunteering to be here 'cause it's totally  
17 voluntary. We're -- we can't force you to be  
18 here, but having the two of you here I think is  
19 an extremely constructive and useful piece and  
20 I hope all of you appreciate that and want to  
21 encourage them to continue to come, so --

22          **DR. WALTERS:** So be nice to us.

23          **DR. SINKS:** So be nice.

24          **DR. WALTERS:** Don't beat us 'cause we won't  
25 come back.

1                   **DR. SINKS:** I know what I was saying, but just  
2                   -- let's make sure we're courteous and we be  
3                   nice because there's all the reason in the  
4                   world for us to be good friends and to work  
5                   well together in collaboration and to help --  
6                   help each other.

7                   The second thing I want to ask the VA is, if I  
8                   interpret what I'm hearing about this training  
9                   letter -- and we've seen this also with  
10                  communications with the Department of Defense -  
11                  - there is some value to this CAP and to ATSDR  
12                  in terms of reviewing communications and  
13                  perhaps helping to see that communications are  
14                  going out. And I would just -- I don't want to  
15                  put you on the spot, but it may be that when  
16                  things are going out that have to do with Camp  
17                  Lejeune, you may want to use this body to help  
18                  you to look at the communication, at least get  
19                  their input. They can be constructive. It's  
20                  just something I'll put out there as a  
21                  potential because -- I offer that to the DoD as  
22                  well, is that the folks here really are very  
23                  invested in this and having their support for  
24                  what you're doing is helpful to your cause,  
25                  ultimately. And so using them as a screening

1           tool in terms of what you're putting out may be  
2           helpful.

3           Now you know, there are complications with  
4           that, I understand that, but I'm just putting  
5           that out there.

6           The third thing I wanted to bring up was the  
7           discussion Brad had about -- I think it was 20  
8           claims, or 200 claims -- the claims that had  
9           been awarded. And as I understand it right  
10          now, it is up to the veteran who has a medical  
11          condition to document, if you will, the  
12          connection between the exposure and the health  
13          outcome. Kidney cancer is a great example. We  
14          had a gentleman with kidney cancer, a  
15          physician, who testified in front of Congress.  
16          He was denied two or three times, and obviously  
17          that's probably the strongest connection we  
18          have for TCE. Will a veteran in -- let me take  
19          a state that isn't here -- New Mexico, who goes  
20          to the VA and was at Camp Lejeune and has a  
21          kidney cancer. What level of evidence will  
22          that individual have to document to his local  
23          board to demonstrate that there is a  
24          connection?

25          In other words, have we gotten past the 'If you

1                   were there and you have a kidney cancer and you  
2                   were exposed, you will get compensated' or will  
3                   it be up to that individual to again go into  
4                   the scientific literature, or again go to a  
5                   physician and get that documentation, when we  
6                   already know the VA has in fact awarded similar  
7                   claims?

8                   **MR. FLOHR:** Well, each claim is reviewed on a  
9                   case-by-case basis. And if a person, as I  
10                  said, who has a particular disease was at Camp  
11                  Lejeune, he's going to need to get medical  
12                  evidence to support his claim. Now they can  
13                  get it through a private physician, which has  
14                  been done and some of the claims have been  
15                  granted, or we will request a medical opinion  
16                  from -- from a local VA (indiscernible) -- and  
17                  ask for a medical opinion.

18                  **MR. BYRON:** Could I interject real quick here?  
19                  This -- this is something that -- you know, my  
20                  daughter in 1985 was diagnosed with aplastic  
21                  anemia. Prior to that she was seen over 50  
22                  times in two and a half years at the base  
23                  hospital for high fevers, urinary tract  
24                  infections, you name it -- rashes. In six  
25                  months when I leave she comes down with

1                    aplastic anemia. The first question out of the  
2 doctor's mouth, 'What chemicals have you been  
3 around?' Then I go back to him ten years later  
4 after I find out what we were exposed to, and I  
5 try to get the doctor to write a statement  
6 saying that her illness is caused by toxic  
7 exposure -- they are just unwilling. You know  
8 why? 'Cause they don't want to end up in  
9 court, too. Okay? And -- and I hate to  
10 disagree with you on that issue. Weren't we --  
11 weren't they talking at the hearing about  
12 presumption so that -- we're not even at the  
13 presumption stage yet. Right?

14                  **DR. SINKS:** No, we are not.

15                  **MR. BYRON:** Okay, that's -- but to get a doctor  
16 to even write down that your illness was caused  
17 by toxic exposure, that's -- you're going to be  
18 really -- really lucky to do that. And the  
19 gentleman that had the can-- kidney cancer also  
20 stated that he was lucky that he worked at a  
21 medical research facility where they could make  
22 that assessment. If he was just the average  
23 Joe like me and my children, that's just not  
24 going to happen in a normal case.

25                  **MR. FLOHR:** Well, like I said, in the claims

1                   that have been granted, those have all -- based  
2                   on positive medical evidence.

3                   **DR. WALTERS:** Mr. Byron, I believe when the  
4                   task force report comes out and the results of  
5                   that task force -- in your particular case and  
6                   in the physician's particular case -- when  
7                   Secretary Shinseki says 'Hey, these following  
8                   diseases we know are associated with these  
9                   exposures' -- okay? We're going from top down,  
10                  I think that will make that -- getting that  
11                  medical assumption much easier.

12                  I think also that what -- so the burden on the  
13                  veteran will be decreased. Will it be  
14                  eliminated? No. A presumption would eliminate  
15                  it. I think there is an issue with exposure.  
16                  Obviously someone who passes through Camp  
17                  Lejeune and drinks one glass of water, versus a  
18                  Marine who, you know, east coast Marine who  
19                  spends the majority of his, you know -- up to,  
20                  you know, two years -- go -- you know, rotate  
21                  two years back, you could spend half your  
22                  career --

23                  **MR. ENSMINGER:** I spent 11 years there.

24                  **DR. WALTERS:** Yeah, you could spend half your  
25                  career. Obviously your exposure is very

1                   different than a trucker driving through who  
2                   happens to be a veteran. So the presumption,  
3                   say in Viet Nam, is anybody who set even a toe  
4                   in Viet Nam, even passed through the airport,  
5                   was exposed to Agent Orange. That's one  
6                   presumption.

7                   The other presumption in Viet Nam is if you  
8                   were in Viet Nam and came down with diabetes,  
9                   that was, you know, associated with Agent  
10                  Orange. So there are a couple of types of  
11                  presumptions here. Okay?

12                  There's a presumption based on disease, and  
13                  there's a presumption based on exposure. Okay?  
14                  So --

15                  **MR. MENARD (by Telephone):** Can I interject  
16                  here?

17                  **MR. STODDARD:** Is that Allen?

18                  **MR. MENARD (by Telephone):** I guess -- I guess  
19                  what we're trying to say, even though it's  
20                  presumptive that you're going to get kidney  
21                  cancer, a veteran is going to be denied unless  
22                  he has a nexus letter from a doctor. Correct?

23                  **DR. WALTERS:** At this point, yes.

24                  **MR. MENARD (by Telephone):** Okay. That's all I  
25                  --

1                   **DR. WALTERS:** But that threshold I believe --  
2                   your average VA doctor, when he gets the  
3                   information from Secretary Shinseki saying hey,  
4                   we know that a Marine who's been at Camp  
5                   Lejeune for two years, drinking the water in  
6                   say 1978 and he's coming down with kidney  
7                   cancer, you can feel assured that we're going  
8                   to back you if you say that this was connected.

9                   **MR. MENARD (by Telephone):** Even without a  
10                  nexus letter?

11                  **DR. WALTERS:** You're still going to have to  
12                  have the nexus letter at this point in time.

13                  **MR. MENARD (by Telephone):** That's our point.  
14                  That's what Jeff was talking about, that it --  
15                  some of these people cannot get that. It's  
16                  almost impossible 'cause these doctors are  
17                  afraid to put their names out on it.

18                  **DR. WALTERS:** Well, the VA doctors -- I think  
19                  that will decrease significantly with this  
20                  action.

21                  **MR. PARTAIN:** Dr. Walters, this is Mike Partain  
22                  here. Earlier you mentioned, you know, that  
23                  the NRC report was profoundly flawed, so thank  
24                  you for that.

25                  **DR. WALTERS:** For -- for not including benzene.

1           **MR. PARTAIN:** Yeah. But also you were talking  
2           about the occupational exposures and the  
3           studies -- you were talking about occupational  
4           exposures and studies that had been done, and I  
5           know a considerable amount of interest had been  
6           put on the occupational exposures. And as  
7           indicated in -- by Dr. Clapp in the testimony  
8           last week at the hearing, we are -- our  
9           exposures are not limited to just occupational.  
10          We have -- you know, we were living there on  
11          the base and working on the base, and you know  
12          --

13          **DR. WALTERS:** Well, there are other exposures -  
14          - other types -- documents. We looked at  
15          occupational exposure, and also this is not the  
16          first time a dry cleaner has spilled into --  
17          you know, that there has been --

18          **MR. PARTAIN:** No, it's not -- no, it's not just  
19          a dry cleaner. It's Marine Corps operations --

20          **DR. WALTERS:** Well, obviously.

21          **MR. PARTAIN:** -- so I'll just point that out.  
22          Here -- here's an example of how the NRC  
23          report's being used and translated. This is  
24          propaganda from the Marine Corps. I call it  
25          propaganda because this is their booklet that

1           they put out in July of 2010. Question: Were  
2           those who lived and worked at Camp Lejeune  
3           exposed to extremely high levels of chemicals  
4           through the water? Answer: The exposure  
5           spread through Camp Lejeune through drinking  
6           water are generally considered lower level of  
7           environmental exposures relative -- relative to  
8           higher level occupational type exposures. The  
9           2009 NRC report in reference to TCE and PCE  
10          stated a central issue in toxicology at Camp  
11          Lejeune is whether doses were sufficient to  
12          produce specific adverse effects. The lowest  
13          doses at which adverse health effects have been  
14          seen in animal clinical studies are many times  
15          higher than the worst case highest assumed  
16          exposures at Camp Lejeune. However, that does  
17          not rule out the possibility that other, more  
18          subtle health effects that have not been  
19          studied could occur, although it somewhat  
20          diminishes the likelihood.  
21          To sit there -- I mean they're comparing  
22          occupational exposures to the fact that we were  
23          living, breathing, drinking, you know, working  
24          in this stuff, bathing in it, and trying to  
25          extrapolate to, you know, occupational studies

1                   which are done on a limited time -- we're there  
2                   24/7 on the base, seven -- you know, seven days  
3                   a week, 365 days a year. And you're trying to  
4                   compare occupational exposures?

5                   **DR. WALTERS:** Let me make myself clear. You  
6                   know, I understand where you're coming from.  
7                   There is only so much literature out there, and  
8                   so the task force report looked at all of it.  
9                   Some of it was occupational exposure. Some of  
10                  it was environmental exposure from similar  
11                  incidences around the country, predominantly  
12                  with TCE. And we looked at IARC, IRIS, EPA  
13                  documents. So what I want to reassure you is  
14                  we looked at as much of the information, as  
15                  much of the scientific documents as we could  
16                  get our hands on. It wasn't just occupational  
17                  exposure.

18                  **MR. PARTAIN:** Okay. And the --

19                  **MR. FONTELLA:** Jim Fontella, the -- and as you  
20                  well know, as you just mentioned, there has  
21                  been studies that have been going on for years  
22                  and years and years, even before we knew the  
23                  Camp Lejeune exposure was even taking place,  
24                  that say that these chemicals that are  
25                  ingested, if they're on your skin, if you

1           breathe them, they cause these same diseases.  
2           So I mean in an educational letter, if we're  
3           going to put out one, why don't we put one of  
4           those studies in there from one of these big  
5           agencies that -- as a past -- that didn't have  
6           anything to do -- Camp Lejeune was just one  
7           place, it was one piece of real estate in the  
8           country, but there's other places that were  
9           studied long before that that showed increases  
10          in male breast cancer, increases in childhood  
11          leukemia, increases in kidney -- from these  
12          same chemicals, but just at different areas, so  
13          they know that these chemicals cause these  
14          problems. It doesn't have to be Camp Lejeune,  
15          actually. I mean there's enough studies out  
16          there --

17          **DR. WALTERS:** And we included -- we looked at  
18          those studies.

19          **MR. FONTELLA:** I know, I'm just -- just from --  
20          again, stating just from what you just said,  
21          you looked at those sites. But what I'm saying  
22          is why not put those in the educational letter  
23          as well, to level the playing field between the  
24          NRC study, which says nothing --

25          **MR. ENSMINGER:** Be careful, that wasn't -- that

1           wasn't a study. Be careful how you term that.

2           **MR. FONTELLA:** Well, that was a literature  
3           study.

4           **MR. PARTAIN:** That was a review. When you say  
5           'looked at,' are we -- I mean did you look at  
6           it and consider it? 'Cause we know with the  
7           NRC report there was a very high threshold that  
8           they set to even consider a study, so a lot of,  
9           you know, things that were, you know, out there  
10           in the scientific community about TCE and PCE  
11           were rejected because it didn't make their  
12           threshold. Are we dealing with the same thing  
13           here?

14           **DR. WALTERS:** As I said, I was not part of this  
15           task force. I looked at the results of it, so  
16           I -- I can't answer your question there.

17           **MR. ENSMINGER:** This task force that you keep  
18           referring to, do you have when this thing met  
19           and the -- what studies they did look at? Do  
20           you have it?

21           **DR. WALTERS:** I don't have it with me, though,  
22           because it's not -- it's --

23           **MR. ENSMINGER:** I'd like to see what they used  
24           to review.

25           **DR. WALTERS:** It's not public information yet

1 because the Secretary has not reviewed it.

2 **MR. MENARD (by Telephone):** Who was involved in  
3 this?

4 **DR. WALTERS:** Again, that's internal  
5 deliberations to the VA.

6 **MR. STODDARD:** Tom -- Tom Sinks, you have a  
7 question?

8 **DR. SINKS:** It's more of a comment. First --  
9 one, it sounds to me like all of us have a real  
10 high interest in seeing this report when it  
11 comes out, and that -- and actually sounds to  
12 me like it may be something that is looked upon  
13 positively, from what you're saying, but time  
14 will tell.

15 So one question I have for you is, do you know  
16 when it will be likely out?

17 The other thing I'd just mention is that it's -  
18 - we -- we did send a letter to the VA  
19 concerning this process and concerning the NRC  
20 report, and citing the fact that we hoped you  
21 would look at all of the scientific data in  
22 making your decisions, and it sounds to me like  
23 you've either followed that advice or  
24 understood it yourselves and taken it. So I --  
25 I thank you for at least sounding like you've

1                   done a broad view of this rather than just  
2                   simply accept the NRC as the end-all to the  
3                   story.

4                   **DR. WALTERS:** Yeah, the other point is, unlike  
5                   the Agent Orange and Gulf War, which is  
6                   mandated by Congress that we -- we review the  
7                   reports from the IOM and formally go through a  
8                   process, we did not have to look at the Camp  
9                   Lejeune NRC report. We did so because we are  
10                  sensitized to environmental hazards and know  
11                  that we're going to see these veterans, and we  
12                  want to do what is best for veterans. So this  
13                  is purely a voluntary task force on the part of  
14                  the VA to look at this and delve into this  
15                  issue.

16                  **MR. STODDARD:** Tom's first part was a question  
17                  about when you might expect the report?

18                  **DR. WALTERS:** When the report -- I -- I don't  
19                  have that crystal ball. I know that it is  
20                  somewhere on the 10th floor, but the  
21                  Secretary's been very busy with the Agent  
22                  Orange testimony, which will be coming out on  
23                  Thursday, so I have no clue when it will be  
24                  signed.

25                  **MR. STODDARD:** Tom -- Tom Townsend, are you

1                   trying to get on?

2                   **MR. TOWNSEND (by Telephone):** Yes.

3                   **MR. STODDARD:** Okay, we could barely hear you.

4                   **MR. TOWNSEND (by Telephone):** Can you hear me  
5                   now?

6                   **MR. STODDARD:** Yes, that's much better. Thank  
7                   you.

8                   **MR. TOWNSEND (by Telephone):** I'd like to make  
9                   a comment if I may.

10                  **MR. STODDARD:** Go ahead.

11                  **MR. TOWNSEND (by Telephone):** I want to speak  
12                  to the -- to the VA representative. I'm a  
13                  disabled veteran. I retired in 1975. I was a  
14                  Korean and Viet Nam veteran. I've lost a son  
15                  at age three months at Camp Lejeune in 1967.  
16                  I've lost my wife four years ago to damage to  
17                  her liver that the autopsy said was caused by  
18                  exposure to chemicals at Camp Lejeune. I  
19                  currently have neuropathy and -- and I'm trying  
20                  to get VA disability, and the VA -- I go to the  
21                  VA and they keep stumbling around on it. I go  
22                  to a civilian neurologist who will -- who says  
23                  -- who is reluctant to give me a absolute, he  
24                  says that it's more than likely -- my  
25                  neuropathy is more than likely caused by my

1                   exposure to chemicals, but he is not willing to  
2                   go to a absolute statement because he doesn't  
3                   want to go to court. I -- I'm going this  
4                   afternoon for about my third or fourth  
5                   neurological exam, and this is just dragging on  
6                   and dragging on. I'm 80 years old. I'd like  
7                   to have some resolution to all of my Camp  
8                   Lejeune issues. My claims have been with the  
9                   Marine Corps for the last -- for ten years, and  
10                  I'd like to see some resolution of the VA  
11                  aspect of it without having to -- without  
12                  having to fight the VA all the time.

13                  **MR. FLOHR:** If I may, sir, it's not necessary  
14                  that your physician provide an absolute.  
15                  Standard of review for VA claims is at least as  
16                  likely as not, so if your physician would  
17                  provide an opinion that your disease is at  
18                  least as likely as not caused by your exposure  
19                  to Camp Lejeune, not knowing anything else from  
20                  your file or any other history, that's --  
21                  that's a very significant piece of evidence.

22                  **MR. TOWNSEND (by Telephone):** My physician did  
23                  indicate that, and my claim was -- my claim is  
24                  still -- is still under -- in fact, I'm going  
25                  to the Board of Veterans Appeals and point that

1           out once again.

2       **MR. STODDARD:** Terry?

3       **DR. WALTERS:** And I think you -- you -- there  
4           is a misperception that there are absolutes in  
5           medicine. Medicine is still the art of  
6           medicine. It's a probability game. You know,  
7           you can -- the only -- the only time I  
8           absolutely know that something has caused an  
9           injury is if you have a gunshot wound and it  
10          creates a hole. I know that that gunshot wound  
11          created that hole.

12       When someone comes down with a heart attack,  
13          can I directly -- or lung cancer, let's take  
14          lung cancer. I may've smoked for -- like a  
15          chimney stack. There's a probability that that  
16          smoking did not cause that lung cancer. I mean  
17          look -- look at Christopher Reeve's wife,  
18          didn't smoke at all; she got lung cancer. So  
19          it's all a probability game.

20       So someone could have kidney cancer, have been  
21          exposed to high levels of benzene, and there's  
22          a higher probability that it was caused by  
23          benzene, but it's not an absolute. So it's  
24          very difficult to speak in absolutes.

25       **MR. TOWNSEND (by Telephone):** I understand

1           that. But I have -- I have lost a child at --  
2           to -- during -- at Camp Lejeune at the height  
3           of the -- at the height of the contamination of  
4           the water supply. I've lost a wife and the  
5           autopsy indicates -- the autopsy reporting  
6           physician said it's more than likely that --  
7           that her -- that she was exposed and that's the  
8           cause of her death -- approximate cause. And I  
9           have neuropathy that my physician says is more  
10          than likely, and I'm still fighting the VA over  
11          the more than likely stuff.

12          **MR. STODDARD:** Tom -- Tom, it's clear that  
13          you're very frustrated with all these things.  
14          I'm wondering what it is you want these two VA  
15          reps in the room to do.

16          **MR. TOWNSEND (by Telephone):** I would like to -  
17          - I would like to have a very clear explanation  
18          in writing to me as a veteran with a claim  
19          against the VA exactly what the -- what it --  
20          what it is that they want from me that they  
21          don't -- that I have not already provided.

22          **MR. STODDARD:** Would you be willing to talk  
23          with him off line on this?

24          **MR. FLOHR:** Well, sir, obviously neither Dr.  
25          Walters nor I have ever seen your claims file.

1           I don't know what's in it. I don't know the  
2         level of evidence that's involved. I don't  
3         know where your claims file is located. I  
4         couldn't give you any information other than  
5         what I've provided without having to actually  
6         see that claims file and review it, and --

7         **MR. TOWNSEND (by Telephone):** I could provide  
8         that information.

9         **MR. FLOHR:** You could provide that. If you're  
10       willing to provide that to -- to Lander or  
11       Perri and they can contact me, and then I could  
12       take a look at it.

13       **MR. TOWNSEND (by Telephone):** I will provide my  
14       VA number and I'll let them -- you can look  
15       back from there.

16       **MR. STODDARD:** Okay. Thank you, Tom. We need  
17       -- we need to move on now.

18       **DR. WALTERS:** And Tom, thank you for your  
19       service and I'm sorry about your wife and  
20       child.

21       **MR. TOWNSEND (by Telephone):** I appreciate  
22       that.

23       **MR. STODDARD:** Jeff, you have one more comment?

24       **MR. BYRON:** Yes, I did want to say one thing.  
25       First off, I do thank you for being here. It

1                   took a while to get you here and we do want you  
2                   to return, because we do have questions. I  
3                   think Tom's experienced the same thing as me in  
4                   my -- my personal -- himself. There's  
5                   dependents out there -- I don't know what  
6                   avenue -- I don't think you have an avenue for  
7                   helping dependents, but is there an avenue,  
8                   once these studies are done and so forth, where  
9                   -- does -- 'cause the VA obviously has doctors  
10                  and they're in the American Medical  
11                  Association. Will this information be  
12                  disseminated to the public, as far as  
13                  physicians, so that maybe when we, you know,  
14                  have an illness like my daughter's aplastic  
15                  anemia, or Mike's breast cancer, that they are  
16                  more willing to write this nexus letter?

17                  **DR. WALTERS:** I can only speak for the VA  
18                  doctors and -- that there will be an increased  
19                  sensitivity. If you look at practice  
20                  guidelines for common things, like providing  
21                  aspirin to prevent heart attacks, or getting  
22                  people to the emergency room with strokes early  
23                  on, it is notoriously difficult to get out  
24                  information to -- to physicians or providers  
25                  unless they read that particular journal.

1           That's a real -- communications with getting  
2           the latest practice guidelines is a real issue  
3           in all medicine, not just American medicine.  
4           So the VA will get that -- will get that  
5           information out. Other doctors, I can't  
6           promise that at all.

7           **MR. BYRON:** And does the VA handle dependent  
8           family matters at all?

9           **DR. WALTERS:** No.

10          **MR. BYRON:** Or is there a --

11          **DR. WALTERS:** No, I mean we -- we have  
12           challenges with women veterans because  
13           traditionally it's always been male veterans.  
14           So for instance, you know, getting a mammogram  
15           is a challenge. Kids, we have no  
16           pediatricians. And it's the whole setup. It's  
17           not just the doctors; it's the nurses, it's all  
18           the practice guidelines. So I don't think  
19           that's in the realm of possibility.

20          **MR. FLOHR:** Well -- well, we do -- VA does have  
21           what's called CHAMP VA if the veteran is  
22           permanently and totally disabled, either 100  
23           percent or -- because they can't work, their  
24           dependents are eligible for treatment and  
25           health care through VA.

1           **MR. ENSMINGER:** Yeah, wasn't there some --  
2           wasn't there some precedent set with some  
3           dependents of Agent Orange?

4           **UNIDENTIFIED:** Spina bifida.

5           **MR. ENSMINGER:** Oh, spina bifida.

6           **MR. FLOHR:** Spina bifida and certain other --  
7           certain other illnesses that affect children.  
8           Our -- our -- Congress made those presumptive  
9           based on veterans' exposure to Agent Orange,  
10          and we do compensate children with spina  
11          bifida.

12          **MR. ENSMINGER:** And the last exposures that  
13          took place at Camp Lejeune were 1987, so we're  
14          not talking about any kids needing pediatric  
15          care, so you know...

16          **MR. STODDARD:** We do need to break for lunch  
17          because we have a presentation at 1:00, so  
18          we're going to be back here and start up at  
19          1:00 o'clock.

20          **MR. MENARD (by Telephone):** Thank you, Brad.  
21          This is Allen Menard. Thank you for coming,  
22          and your associate, too.

23          **MR. FLOHR:** You're welcome, Allen.

24          (Lunch recess from 12:09 p.m. to 1:01 p.m.)

25          DATA MINING WORKGROUP

1                   **MR. STODDARD:** All right. So we are at the  
2 point in the agenda where Sven Rodenbeck, if  
3 you would introduce yourself, tell us what  
4 organization you're with, what role you play  
5 and you have the --

6                   **MR. RODENBECK (by Telephone):** All righty.  
7 Well, good afternoon, everybody. My name is  
8 Sven Rodenbeck. I work at ATSDR and I was  
9 asked to co-lead the Camp Lejeune data mining  
10 technical workgroup that the Department of Navy  
11 and ATSDR formed to finish and try to close out  
12 the data mining activities associated with the  
13 health activities that are ongoing at Camp  
14 Lejeune. So in that capacity, I work with Mr.  
15 Scott Williams over at the Navy; he's my  
16 counterpart and we've been -- as members of CAP  
17 we're hopefully quite aware. The workgroup has  
18 had several meetings and today I am here to  
19 update you on the meeting that we had this past  
20 Monday.

21 It was a conference call, about one hour.  
22 Basically the conference call was pretty much  
23 totally devoted to looking at the after-action  
24 items that had been enumerated in all the  
25 summaries to date to check on the status of

1           those, see which are -- had been completed,  
2           which are in progress, what needs to be done to  
3           try to close those out. And at this particular  
4           meeting we actually added a new action item.  
5           As you may be aware, for about three weeks  
6           ATSDR has had a staff person up at Camp Lejeune  
7           helping with the review of potentially relevant  
8           information and data, and facilitating the  
9           transfer of that back down to Atlanta to be  
10          used. He has returned temporarily, and what  
11          ATSDR needs to do is develop a plan,  
12          specifically, you know, what travels are needed  
13          and stuff like that, just to arrange for him to  
14          get back up there, perhaps some other staff  
15          members, to complete the review of the  
16          information and data in the various  
17          repositories there at Camp Lejeune. So that's  
18          a new action item that we're actively pursuing.  
19          Concerning the -- all the action items, right  
20          now it appears only 19 out of the 42 that have  
21          been identified to date are still outstanding,  
22          but all of those I can say we're making  
23          progress on and should complete the bulk of  
24          this work by the end of October, as Dr.  
25          Portier's indicated in his testimony to the

1                   Congressional hearing last week.

2                   So that is it in summary, and I'd be more than  
3                   happy to address some questions.

4                   **MR. ENSMINGER:** I have one question. This is  
5                   Jerry Ensminger.

6                   **MR. RODENBECK (by Telephone):** Hi, Jerry.

7                   **MR. ENSMINGER:** I want to know if you're a real  
8                   person or just a voice, because every time we  
9                   have a meeting you're never around, and -- and  
10                  a lot of times we don't even have your voice.  
11                  You're on vacation or on ~~TAD-TDY~~ to travel  
12                  somewhere. But I was looking forward to  
13                  actually having a face here today and being  
14                  addressed -- being able to talk to you in  
15                  person. Where are you at today?

16                  **MR. RODENBECK (by Telephone):** I am in  
17                  Washington, D.C. Unfortunately my other duties  
18                  prevented me from being there today, Jerry.

19                  **MR. STODDARD:** So Jerry, you'd like to see him  
20                  in person?

21                  **MR. ENSMINGER:** Yeah, I just want to know if  
22                  he's real.

23                  **MR. STODDARD:** Other questions for Sven?

24                  **MR. PARTAIN:** Sven, this is Mike Partain. With  
25                  the data mining, earlier this morning we were

1                   talking and I was asking Morris about sub-  
2                   branches, or other areas in the Navy UST  
3                   portal, that may contain documents,  
4                   administrative letters or minutes or what-have-  
5                   you. And the reason being, I know that not  
6                   necessarily data that gets plugged into the  
7                   water model, but the -- this type information  
8                   will lead to possibly other data sources, what-  
9                   have-you. Have y'all identified this or what  
10                  are you doing to locate these type of  
11                  documentations?

12                  **MR. RODENBECK (by Telephone):** We have just  
13                  recently been provided access to the -- what is  
14                  -- we call, it's not the official name, the  
15                  product side of (unintelligible) web base  
16                  information, and that allows us to look at some  
17                  other draft information and also administrative  
18                  files, as you indicated. So the ATSDR staff is  
19                  taking a look at that.

20                  **MR. BYRON:** I'm sorry, this is Jeff Byron.  
21                  That was the NIST?

22                  **MR. RODENBECK (by Telephone):** Just a second,  
23                  let me get the -- yes.

24                  **MR. BYRON:** So, I'm sorry, what was it?

25                  **MR. RODENBECK (by Telephone):** Yes, a NIRIS~~is~~.

1           **MR. BYRON:** NIRIS, thank you.

2           **MR. PARTAIN:** And Sven, when we get this, can  
3           we get some type of -- to the CAP I guess a  
4           content of what is in these files? I know that  
5           some of them aren't going to be released, but  
6           we'd like to know what's there so we can ask  
7           questions about them.

8           **MR. RODENBECK (by Telephone):** The closeout  
9           report will have all the indices from the  
10          various repositories that the working group  
11          looked at.

12          **MR. BYRON:** And Sven, this is Jeff Byron again.  
13          These -- all the documents that you're  
14          gathering now and looking at, are these ones  
15          that were vetted by Booz Allen and Hamilton  
16          previously, or are these new?

17          **MR. RODENBECK (by Telephone):** Some are the  
18          Booz repository, as you indicated. Others are  
19          new. For example, the Navy's been doing a lot  
20          of investigations around where active munitions  
21          have been used. Granted, the chemical analysis  
22          from those activities are not pertinent to the  
23          VOCs type stuff that we're interested in, but  
24          certainly the ground water monitoring levels  
25          and other things that go on with those

1                   investigations we're interested in obtaining.

2                   **MR. BYRON:** Thank you. Have you also looked at  
3                   the ones -- the documents that were kicked out  
4                   by Booz Allen and Hamilton to see if there was  
5                   information there that they weren't aware of  
6                   that you might need?

7                   **MR. RODENBECK (by Telephone):** I'm not familiar  
8                   with what you mean by 'kicked out,' I'm sorry.

9                   **MR. BYRON:** I figured that they went through  
10                  those documents to see what was pertinent to  
11                  the issue of Camp Lejeune toxic water and which  
12                  ones weren't, but they were a private  
13                  contractor by the DoD so I'm not sure I would  
14                  technically trust that anyway.

15                  **MR. RODENBECK (by Telephone):** My  
16                  understanding, it was a massive collection of  
17                  information from across the base, and whatever  
18                  they found, they maintained. They did not  
19                  throw out -- that's my understanding.

20                  **MR. BYRON:** Okay, thank you.

21                  **MR. STODDARD:** Other questions?

22                  **MR. PARTAIN:** This is Mike Partain again. I  
23                  just wanted to touch base and see if we've  
24                  gotten a official explanation or written  
25                  confirmation that the Navy is no longer in

1 possession of any copy of the well production  
2 logs and the plant production logs for Hadnot  
3 Point and Holcomb Boulevard.

4           **MR. RODENBECK (by Telephone):** That is one of  
5           the remaining action -- after-action items that  
6           are in progress. We should have that closed  
7           out here shortly. They're -- they're turning  
8           over the last stone, so to speak.

9                   **MR. PARTAIN:** And that applies to Tarawa  
10                  Terrace as well?

11 MR. RODENBECK (by Telephone): Yes.

12 MR. PARTAIN: Thank you.

13                   **MR. STODDARD:** Any other questions?

15                   **MR. STODDARD:** I don't see any. Okay, so ready  
16                   to move on -- we had a question came up at  
17                   lunch. Perri, you want to ask that question?

18           **MS. RUCKART:** I just talked to Brad. I just  
19           wanted to make sure that he got a chance to  
20           discuss all the items that he came here  
21           prepared to discuss, and he said he did. I  
22           guess we can see if there's any additional  
23           questions from you, but I just wanted to follow  
24           up with Brad to see if the VA would be  
25           attending this meeting in the future and Brad

1           said for the time being he will be coming to  
2           our meetings. So I'm happy to be able to share  
3           that with you. But since we do have some extra  
4           time here, are there any questions that people  
5           still have for Brad?

6           **MR. PARTAIN:** Yeah, Brad, this is Mike Partain  
7           here. We kind of talked about this during the  
8           break, but I want to officially ask it. The  
9           200 cases that were cited in the hearing last  
10           week and brought up again this morning, is this  
11           the total cases that have ever been brought  
12           against Camp Lejeune or -- I mean for the  
13           service connection at Camp Lejeune, or when did  
14           this 200 number begin?

15           **MR. ENSMINGER:** For the record.

16           **MR. FLOHR:** For the record, I have no way of  
17           knowing, over the course of time, how many  
18           claims have been filed by people based on Camp  
19           Lejeune. The 200 number we have is an  
20           approximation that is being -- has been tracked  
21           by our Regional Offices manually, and some of  
22           those on the list go back earlier than -- than  
23           this year, but the majority of them have been,  
24           I believe, claims that have been filed this  
25           year.

1           **MR. PARTAIN:** And the 20 that were awarded,  
2            were any of them the male breast cancer cases  
3            that we've been talking about? 'Cause I know -  
4           -

5           **MR. FLOHR:** To my knowledge, no.

6           **MR. PARTAIN:** Okay, 'cause I know of two -- two  
7            awards, one recent and one last year, with male  
8            breast cancer.

9           **MR. FLOHR:** I don't recall seeing those on the  
10          spreadsheet that I have, but not every office  
11          is keeping the spreadsheet of claims that are  
12          granted. That was limited just to our offices  
13          in the southern area of the United States.

14          **MR. PARTAIN:** Okay, 'cause I know Congress was  
15          asking about that, too, as far as after the  
16          hearing, so...

17          **MR. BYRON:** This is Jeff Byron again. Thank  
18          you for speaking to the Secretary and getting a  
19          log started at each facility. Will that be  
20          something you'll be able to update us on at  
21          each meeting, too?

22          **MR. FLOHR:** Absolutely.

23          **MR. BYRON:** Thank you.

24          **MR. FONTELLA:** Jim Fontella. Brad, the ratings  
25          officers, is anything being done to educate

1                   them as far as environmental exposure goes?

2                   And when they're -- when they get a claim that  
3                   has environmental exposures, is there somebody  
4                   in that Regional Office that would have the  
5                   expertise to evaluate that claim?

6                   **MR. FLOHR:** Well, Jim, that was the purpose of  
7                   the training letter we put out was to begin at  
8                   least the first step in educating the people  
9                   that make decisions of the exposures.

10                  **MR. FONTELLA:** But the edu-- but they wouldn't  
11                  really know much about what exactly these  
12                  chemicals do when a person puts -- writes  
13                  something in his claim or writes a -- an  
14                  exhibit, so to speak, of saying what this did  
15                  to his health. The ratings officer would have  
16                  to glean something else as far as you're seeing  
17                  -- I would think it would be somebody  
18                  (unintelligible) or a doctor who'd had some  
19                  type of experience to evaluate these --

20                  **MR. FLOHR:** We do not have doctors in Regional  
21                  Offices that are available to make -- that make  
22                  decisions.

23                  **MR. FONTELLA:** Do they turn that over to a VA  
24                  facility or something like that, or --

25                  **MR. FLOHR:** Certainly if there are questions

1           they can ask, claims processors can ask someone  
2           to help with the (indiscernible) if they have  
3           questions or they can task people in my office,  
4           you know, doctors in my office, or in VHA.  
5           Particularly complex questions, can send them  
6           to VHA.

7           But as we go forward, we'll be providing more  
8           information to people who process claims. As  
9           it becomes available to us, we'll make it known  
10          to those people. Same as Dr. Walters -- she  
11          was saying -- we were talking at lunch. She  
12          has an environmental agents coordinator in each  
13          VA Medical Center, and if someone for example  
14          served in Viet Nam, they can go there, the  
15          coordinator will schedule them to meet with  
16          someone -- an examiner or some kind of medical  
17          person that knows about the exposures, same  
18          with the Gulf War vets, same with the Camp  
19          Lejeune -- Lejeune vets could go to them and  
20          ask to speak to that person and get an  
21          evaluation. Now that's something that's going  
22          to be worked out by Dr. Walters. We talked  
23          about doing this at lunch, moving forward, that  
24          someone could put into place I think fairly  
25          quickly. We're -- we're dedicated to doing

1                    everything we can to get the best information  
2                    available to the people who treat veterans and  
3                    people who make decisions on claims.

4                    **MR. STODDARD:** So Brad, is Terry Walters on the  
5                    VHA side?

6                    **MR. FLOHR:** Yes, she is.

7                    **MR. STODDARD:** For the benefit of the folks who  
8                    don't know this, could you explain the  
9                    difference between your side of the  
10                  organization and her side of the organization?

11                  **MR. FLOHR:** About myself, administration is  
12                  responsible for -- for providing health care --  
13                  medical benefit, medical care -- to veterans  
14                  who are service connected for a disability  
15                  resulting from service or those who are  
16                  permanently and totally disabled from non-  
17                  service connected causes, to certain dependents  
18                  of veterans who are totally disabled. Veterans  
19                  Benefits Administration --

20                  **MR. STODDARD:** Which is where you are.

21                  **MR. FLOHR:** Which is where I am -- is made up  
22                  of various services -- compensation and  
23                  pension, which is monetary benefits provided to  
24                  veterans. There's an education service for  
25                  veterans for education benefits, loan guarantee

1                   service, there's the vocational rehabilitation  
2                   and deployment service, and the insurance  
3                   service. All those are made up of the Veterans  
4                   Benefits Administration.

5                   **MR. STODDARD:** Thank you very much.

6                   **MR. BYRON:** Brad, the VHA falls directly under  
7                   the VA, though, doesn't it?

8                   **MR. FLOHR:** Oh, absolutely.

9                   **MR. BYRON:** Because the determination is made,  
10                  and then they're sent to the VHA?

11                  **MR. FLOHR:** There are three major organizations  
12                  in VA: Veterans Health Administration,  
13                  Veterans Benefits Administration, and the  
14                  National Cemetery Service.

15                  **MR. STODDARD:** Okay, thank you. Any other  
16                  questions?

17                  **MR. MENARD (by Telephone):** Brad?

18                  **MR. STODDARD:** Who is this?

19                  **MR. MENARD (by Telephone):** This is Allen  
20                  Menard. I'm looking at a decision here on my  
21                  computer for a veteran that was denied. He had  
22                  a doctor write that there's no clinical  
23                  evidence to say that his illness is related to  
24                  the chemicals, but yet he's got a doctor, a  
25                  neurologist, to say that it is highly likely --

1 it's highly entertaining that it is a direct  
2 result of the contamination, and he was denied.  
3 I sent you a e-mail with his case number and  
4 that -- and the decision, and I would  
5 appreciate it if you'd take a look at it and  
6 get back to me.

7 MR. FLOHR: Sure, I'll -- I'll do that.

8 MR. MENARD (by Telephone): Okay.

9                   **MR. FLOHR:** I don't recall getting an e-mail  
10                  from you about that, but you might want to send  
11                  it to me again.

12 MR. MENARD (by Telephone): Huh?

15                   **MR. MENARD (by Telephone):** I just sent it  
16                   today.

17                   **MR. FLOHR:** Oh, okay. Well, then I haven't  
18                   seen it yet. All right.

19                   **MR. STODDARD:** Okay, thanks. Anything else?  
20                   Any other questions for Brad?

21 | (No response)

22 MR. STODDARD: Okay.

23           **MR. BYRON:** Is there anything we could do to --  
24           I mean other than what we are doing?

**MR. FLOHR:** I don't know. I don't know. We

1           certainly will -- we appreciate all the work  
2           that you're doing and if there's anything that  
3           does -- if you become aware of something that  
4           would help us, then let us know.

5           **MR. STODDARD:** Okay, thank you.

6           **MR. FONTELLA:** Brad, one more thing -- Jim  
7           Fontella. I -- and you know what? I just  
8           forgot what I was going to say. See what  
9           happens when you -- let's see, how old am I  
10          now?

11          **MR. STODDARD:** Well, you can always bring it up  
12          later.

13          **MR. FONTELLA:** I'm sorry I did that.

14          **MR. STODDARD:** No problem. Okay, so we shifted  
15          the NRC report to later. We've covered that  
16          significantly so far. Frank, you had a few  
17          things you wanted to say.

18          NRC REPORT

19          **DR. BOVE:** Yeah. Chris Portier said that he  
20          would -- if I understood him right -- review  
21          the situation. The situation is this: Morris  
22          and I drafted a 25-point critique of the NRC  
23          report, although we both stopped last year when  
24          the agency decided to do something else, put  
25          out something -- and I'll talk about that in a

1           second.

2           Since then I've been back on the job trying to  
3           add to what I wrote before, some of which is  
4           out I guess because there was a deposition and  
5           it was released to at least lawyers, and I  
6           don't know how far it went out beyond that.

7           But -- but I am working on adding to what we  
8           wrote before and cleaning it up and will  
9           present it to Portier and also go through our  
10          clearance, and we'll see how it goes.

11          Now the thing that was released last year, just  
12          to refresh your memory, addressed some of the  
13          NRC report. It addressed the question of  
14          whether the water -- the monthly estimates for  
15          Tarawa Terrace were reliable. We claim they  
16          were, so that we would use them in the epi --  
17          epidemiologic studies, so that is one point we  
18          -- we didn't address all the issues raised in  
19          that first chapter of the NRC report about the  
20          water model issue. It included issues about  
21          whether it was something called DNAPL or  
22          whether the cutting edge methods that we used,  
23          including the software and the modeling  
24          techniques, were valid or not and so on. So  
25          there was a number of issues in that first

1                   chapter that were addressed either by ~~Mustav~~  
2                   Mustafa Aral's statement that he put on his web  
3                   site at Georgia Tech, or that are in the -- in  
4                   the part that Morris drafted that hasn't seen  
5                   the light of day yet, at least officially.  
6                   So that -- the thing we released in August also  
7                   stated that we would go forward with the -- all  
8                   four studies, regardless of what the NRC report  
9                   had said, and that -- and so that -- that -- so  
10                  we addressed why, we made justifications for  
11                  that which countered, to some extent, what the  
12                  NRC report said.  
13                  So what we haven't dealt with and what this  
14                  additional stuff that Morris and I are working  
15                  on is, again, critiquing particular points  
16                  raised in chapter one about the water modeling;  
17                  critiquing the chapter on tox information,  
18                  which I'm working on and I'm talking with some  
19                  EPA people and I'm hoping to get some input  
20                  from our own division of toxicology here. The  
21                  review of the epi literature, I had gone pretty  
22                  far along there but I want to add to it because  
23                  this -- even since the -- since I wrote last  
24                  year, there's more information. And then some  
25                  specific critiques of both our case control

1                   study on birth defects, childhood leukemia and  
2                   the previous adverse pregnancy outcome or  
3                   small-for-gestational-age study, whatever you  
4                   want to call it, certain critiques of those two  
5                   studies that I thought were inaccurate. And  
6                   then critiques of the current -- the new  
7                   studies, which they critique without having  
8                   looked at our protocol, without having really  
9                   digested the power calculations, although they  
10                  were given to them. And so -- so all of that  
11                  I'm going to try to integrate, both the stuff  
12                  that we've already put out on our website, and  
13                  then the additional stuff, going point-by-point  
14                  through. We'll see how it goes through the  
15                  agency and I'm trying to finish this up, at  
16                  least a draft of, by next week, and so that's  
17                  where that is at. Okay?

18                  I can't promise that something will come out at  
19                  the end. It has to go through our clearance  
20                  process. We'll do the best job we can so that  
21                  it does -- something strong does come out.

22                  **MR. STODDARD:** Good. Questions?

23                  **MR. TOWNSEND (by Telephone):** I have a comment.

24                  **MR. STODDARD:** Is this Tom?

25                  **MR. TOWNSEND (by Telephone):** Tom, Tom

1 Townsend.

2 **MR. STODDARD:** Tom, we can barely hear you.

3 **MR. TOWNSEND (by Telephone):** I'll change my  
4 mic a little bit. Hang on. Can you hear me  
5 now?

6 **MR. STODDARD:** Much better.

7 **MR. TOWNSEND (by Telephone):** Okay, something -  
8 -- something interfering with it. I -- this is  
9 -- this is basically breaking news. I just had  
10 a call from Mike Gross, who was a physician --  
11 a physician at Camp Lejeune that is 100 percent  
12 disabled as a result of his exposure while  
13 working at the Naval hospital. That's not the  
14 news, but he has been -- he has been contacted  
15 by a former psychiatrist at Camp Lejeune who's  
16 -- who she is suffering from Hodgkin's and her  
17 children are non-Hodgkin's, and they've had --  
18 she and her husband had the water sampled by  
19 the same firm that used to sample Camp Lejeune  
20 water -- I can't remember the name of it right  
21 at the moment, but I will make -- I will be in  
22 contact with her and pass on this information  
23 because apparently the water is not as -- the  
24 water is not as pure and clean as the Marine  
25 Corps alleges at this moment.

1           **MR. STODDARD:** Thank you for that update. Did  
2           you have a question about the NRC report?

3           **MR. TOWNSEND (by Telephone):** Not that hasn't  
4           been already answered -- questioned.

5           **MR. STODDARD:** Okay, thank you.

6           **MR. ENSMINGER:** Well, that was my point this  
7           morning about what -- why I wanted ATSDR to  
8           come out with a much more detailed review of  
9           that report, and Dr. Sinks sat here and said  
10          'Well, what more do you want?' I said 'I want  
11          the -- I want it clarified. Every point in  
12          that thing where they screwed up.'

13          **DR. BOVE:** I'm not going to promise you I'm  
14          going to catch every screw-up in that report,  
15          but we -- as I said, we're going to add -- what  
16          Morris and I have been working on are the  
17          points that weren't addressed in the thing we  
18          put out back in -- the agency put out back in  
19          August. And as I said, specifically about the  
20          critiques about not taking into account DNAPL,  
21          not taking into -- using software that wasn't  
22          valid or wasn't tried and true, and a couple of  
23          other points on the water modeling that they  
24          brought up that Morris has critiqued, and then  
25          all the other stuff in the report: the tox,

1           the epi, the critiques of the drinking water  
2           studies done by others, including myself in New  
3           Jersey -- which pissed me off a little bit --  
4           and then also the critiques of the current  
5           studies, there were four studies, so that'll  
6           all be in there. And as I said, we'll have to  
7           go through the clearance process and see what  
8           happens.

9           **MR. PARTAIN:** What type time frame do you think  
10          that'll be done, Frank?

11          **DR. BOVE:** It was supposed to be done by the  
12          end of this week but the hearings got in the  
13          way. I'm going to try to have a draft ready to  
14          start the review process by the end of next  
15          week. That's what I'm shooting for.

16          **MR. PARTAIN:** Okay. Now are you guys going to  
17          provide the CAP with a -- with the -- when it's  
18          cleared and everything's ready to go, we'll get  
19          a copy of --

20          **DR. BOVE:** Yeah, I'm not sure where in the  
21          process. It'll probably have to go through the  
22          entire clearance process. I'm not sure how  
23          this is going to work.

24          **MS. RUCKART:** What our division director has  
25          said is that, saying, you know, we on our level

1                   are going to prepare the point-by-point  
2                   response for review by -- for review by Dr.  
3                   Portier. Then it'll be his decision what he'll  
4                   have to do with that. I mean he may not want  
5                   it to go through a full clearance or he may  
6                   choose some kind of abbreviated clearance as  
7                   part of his review. You know, that part is not  
8                   fully fleshed out yet.

9                   **MR. STODDARD:** Okay, thank you. Any other  
10                  questions?

11                  (No response)

12                  **MR. STODDARD:** Okay, ready to move on to  
13                  updates on studies.

**UPDATES ON STUDIES:**

**MORTALITY STUDY, HEALTH SURVEY, MALE BREAST CANCER**

15                  **MS. RUCKART:** Okay, I just want to hit the  
16                  highlights on where we are with the new  
17                  studies. The mortality study, activities are  
18                  going on as scheduled, those are progressing  
19                  nicely. Our contractor, Westat, is working  
20                  with the Social Security Administration to  
21                  conduct searches to identify the vital status  
22                  of all the cohort members in the DMDC data.  
23                  And they're also preparing -- the contractor's  
24                  also preparing an application for the NDI, the  
25                  National Death Index, so that once we identify

1           those that are deceased we can get more  
2           information on their deaths.  
3           And our contractor's also preparing state-  
4           specific applications for the vital statistics  
5           offices so that we can obtain death  
6           certificates, if needed. This is because the  
7           NDI will not have cause of death for those who  
8           died before 1979. They didn't collect that.  
9           They will also not have cause of death for  
10          those who died after 2007 'cause it won't be  
11          available to be shared yet. They run, you  
12          know, slightly behind --

13          **MR. ENSMINGER:** Slightly? Three years?

14          **DR. BOVE:** Yeah, yeah, there's a long lag time.

15          **MS. RUCKART:** So we'll need the death  
16          certificates and the contractor is working on  
17          preparing those specific applications.  
18          Also from the death certificates we'll be able  
19          to get next of kin information that we can use  
20          in the health survey.

21          We have expanded our DMDC cohort to include  
22          Marines and civilian employees stationed or  
23          employed at a base through 1987. Initially we  
24          were going to go through 1985. The DMDC did  
25          provide data through 1987 so we have decided to

1           use the full, you know, range of data they have  
2 supplied.

3           And once we get the preliminary results from  
4 Morris, we plan to use the water modeling  
5 analysis with our epi data and start that, so  
6 that once things are finalized we can get the  
7 reports out as soon as possible.

8           Any questions about the mortality study?

9           **MR. ENSMINGER:** Yeah, what did you say was  
10 missing, pre-- pre-'79?

11           **MS. RUCKART:** Before 1979 the National Death  
12 Index, NDI, does not have information on cause  
13 of death, so we'll have to go back to the --  
14 the states where the person died and obtain  
15 their death certificate.

16           **DR. BOVE:** The National Death Index was started  
17 in 1979 and that's when the data starts.  
18 Before that -- that's when they started, just  
19 like the (interference) for Marines starts --  
20 with -- with unit t code starts in June of '75,  
21 unfortunately. That's what -- there are no  
22 data before that. That's for the current data.  
23 They have to wait for all the states to provide  
24 NCHS -- right? That's what it is, right? --  
25 all the death certificate information. And so

1           each state takes its time cleaning up its data  
2           before it sends it off to NCHS, so that's why  
3           there's a -- there's a long lag between -- so  
4           to deal with that issue, we wanted to use the  
5           Social Security match list to find out they  
6           died, and then go get the death certificate  
7           ourselves for those deaths that occurred after  
8           2007.

9           **MR. FONTELLA:** Jim Fontella. How are -- if  
10          you're going to use Camp Pendleton against the  
11          Camp Lejeune Marines, how are you going to be  
12          able to separate the fact that -- because Camp  
13          Pendleton is a true base, as well as Lejeune --  
14          that some of these men weren't at Lejeune first  
15          and then went to Camp Lejeu-- Camp Pendleton?

16           **MR. ENSMINGER:** (Unintelligible) check that.

17           **DR. BOVE:** We have -- we have --

18           **MR. FONTELLA:** You can tell that?

19           **DR. BOVE:** Yes, you can tell that. Right? We  
20          have unit codes, and a person is in that  
21          database as long as they're a Marine, and if  
22          their unit codes change, we know -- there were  
23          quite a number of people who were at both  
24          bases.

25           **MS. RUCKART:** Well, let me say this. If you

1           | were at Camp Lejeune, regardless, ~~of~~ if you  
2           | were at Pendleton, you are considered in the  
3           | Camp Lejeune side. To be part of a comparison  
4           | population you have to have never been at  
5           | Lejeune.

6           **MR. PARTAIN:** Okay, so these people will not be  
7           excluded from Lejeune if they've been at  
8           Pendleton.

9           **MS. RUCKART:** Right, and they'll be on the  
10          Lejeune side.

11          **MR. PARTAIN:** And that applies to other bases,  
12          too, like El Toro or -- okay.

13          **MS. RUCKART:** Yeah, as long as you were at  
14          Lejeune, you know, regardless of where else you  
15          were, you're at Lejeune. And as far as  
16          Pendleton, you have to have been at Pendleton  
17          and not Lejeune. You can be elsewhere than  
18          Pendleton as long as it was not Lejeune.

19          **DR. BOVE:** Now we won't have information on El  
20          Toro. For example, I don't know what the --  
21          you know, the exposure routes there  
22          (unintelligible) intrusion, so --

23          **MR. ENSMINGER:** Yeah, but I mean there's --  
24          there's no documented drinking water  
25          contamination at El Toro. Okay?

1           **MR. PARTAIN:** I was referring -- more of an  
2           occupational exposure, people working with that  
3           stuff.

4           **MS. RUCKART:** Well, as part of the health  
5           survey people will get a chance to report other  
6           exposures besides just at the base, so after  
7           they leave the military or other places during  
8           the military, so we'll be able to factor that  
9           in.

10          **MR. PARTAIN:** Okay. I was more concerned, you  
11          know, 'cause other bases that are Superf-Fund  
12          sites that people were at and I don't want them  
13          necessarily arbitrarily excluded because they  
14          may have seen something there.

15          **DR. BOVE:** No, and there are Superf-Fund sites  
16          at Pendleton, too. The source of drinking  
17          water at Pendleton was groundwater. There was  
18          some contamination later, after the study  
19          period, but not -- nothing like Lejeune. And  
20          we're also going to make comparisons between  
21          Lejeune and the U.S. population, just like  
22          other mortality studies are done. We just  
23          thought that for -- there is this phenomenon  
24          called the healthy veteran effect -- that's  
25          outside. There's this thing called the healthy

1           veteran effect, and it was felt it would be  
2           very -- it was felt by our epi panel two or  
3           three years ago that it would be good to have a  
4           Marine comparison population so that's why  
5           Pendleton was chosen.

6           **MR. PARTAIN:** And you brought up 1987 and --  
7           extending out to '87, I mean we're going to  
8           have people -- and granted, you determined that  
9           Tarawa Terrace was exposed to '87, but there  
10          were people on main side that were not exposed  
11          to drinking water contamination.

12          **DR. BOVE:** Right, we're taking all that into  
13          account.

14          **MR. PARTAIN:** Okay. And the -- so we're still  
15          running from '75 to '87?

16          **DR. BOVE:** The earliest we can include Marines  
17          is June of '75. Then the database -- June '75  
18          onward, we can include them. Otherwise there's  
19          no data.

20          **MR. PARTAIN:** Is that from --

21          **DR. BOVE:** For the mortality study. For the  
22          mortality study.

23          **MR. PARTAIN:** Now what about Marines who were -  
24          - I know June '75 is the begin date there, but  
25          say like, you know, someone's stationed there

1           June '73 through July of '77, are they going to  
2           get counted or be excluded because they were  
3           there before the --

4           **DR. BOVE:** No, no, they would be still --  
5           anybody who's in the data, June '75 onward, we  
6           have. Now what we said in our protocol was  
7           that we would focus on those that we knew their  
8           whole history so they would have to have  
9           started in '75 -- June '75 as well. But -- and  
10          we want to focus on that. But that does not  
11          mean we will not analyze and evaluate the  
12          mortality stats of those peo-- of all the  
13          people who we have in the DMDC database, and  
14          that includes civilians, too. We -- if they  
15          started -- if they're in the database in  
16          December '72 when the DMDC data starts for  
17          civilians, we don't know how long they worked  
18          before that. The data's very poor and  
19          variable. So we said in the protocol that we  
20          would focus on civilians who started work at  
21          the Department of Defense June '74 or  
22          thereafter. We will still focus on that,  
23          because we do -- we know where -- they started  
24          work then and we have their work -- work  
25          history, at least at the base, entire work

1                   history. But we will also evaluate all the  
2                   civilians in the DMDC database, just like all  
3                   the civilian -- Marines and Navy personnel  
4                   which would be in the DMDC database when we  
5                   compare mortality with the U.S. population or  
6                   even straight up with Pendleton. Okay? We  
7                   just may not fo-- we may not -- we call that  
8                   the key analysis. We will have other -- we  
9                   will have additional analyses. You can see  
10                  different matters, sort of what Morris was  
11                  talking about earlier this morning about, you  
12                  know, we -- here -- here's the group we know  
13                  the entire history of. We know when they  
14                  started, we know when they stopped, we know  
15                  where they were in between. Okay? Now there  
16                  are some people over here -- there is -- in  
17                  this data-- the -- they're in the database, but  
18                  we don't have all that information, but we'll  
19                  evaluate them as well, but we may focus on  
20                  these. These may be the primary -- you know,  
21                  the analysis, and then we'll have subsequent  
22                  analysis -- the rest of the (indiscernible).  
23                  So I want to use all the data I have, bottom  
24                  line, and I want to see if it makes any  
25                  difference whether I exclude or include these

1                   people.

2                   **MR. PARTAIN:** Now what about -- you know, we  
3                   get a lot of questions like on our website or  
4                   through e-mails and stuff, you know, people  
5                   wondering when they're going to start seeing  
6                   surveys or getting questions or getting some  
7                   type of feedback 'cause right now you register  
8                   with the Marine Corps and basically go into a  
9                   big black hole and never heard from or seen  
10                  from again.

11                  **MS. RUCKART:** That's the health survey. We  
12                  were just talking here about the mortality  
13                  study, so we can talk about the health survey  
14                  if there's no more questions about the  
15                  mortality study?

16                  (No response)

17                  **MS. RUCKART:** Okay. So let me just give some  
18                  updates and maybe that'll answer some of your  
19                  questions, and then we can take questions.  
20                  Okay?

21                  So we awarded the contract for the health  
22                  survey to Westat. That was awarded on  
23                  September 10, a week, two weeks ago. And  
24                  that's the same person -- the same contractor  
25                  that got the mortality study so that should

1           facilitate some data sharing there like we're  
2           saying, information we have on next of kin on  
3           death certificates using the health survey,  
4           that'll be easy to pass that information back  
5           and forth.

6           We have a call scheduled with Westat tomorrow,  
7           an in-person meeting scheduled for October 6th  
8           to just get going as soon as possible here on  
9           the health survey. We are still waiting for  
10          OMB approval and we hope to have that soon.  
11          What I hear is that they don't really have any  
12          issues, so that should be forthcoming.

13          Now we had a lot of talk before about the pre-  
14          notice and the survey invitation letters, who  
15          was going to sign those, getting the Commandant  
16          and other high level officials in the Marine  
17          Corps to sign those. Previously, I believe it  
18          was January 2009, DoD and ATSDR have jointly  
19          developed some letters and those were vetted  
20          through the Marine Corps, but there's been a  
21          change of leadership so the people who will be  
22          signing those letters now were not there at  
23          that time, so we have to -- we or the Marine  
24          Corps has to kind of -- yeah, go through that  
25          process again. And I talked to Scott Williams

1           this morning and we do want to share these  
2           letters with you. They're slightly different  
3           than the ones you've seen before 'cause those  
4           are the ones that just ATSDR had developed, and  
5           Scott has not been able to get final approval  
6           from his leadership to share those, but he is  
7           working on that.

8           Now let me say this. There will be the pre-  
9           notice letter -- this is what we're proposing.  
10          There'll be the pre-notice letter and the  
11          survey invitation letter from the Marine Corps,  
12          hopefully signed by Major General PanterPanzer\*  
13          on the pre-notice letter and the Commandant on  
14          the invitation letter. ATSDR will also have  
15          our own separate invitation letter because our  
16          letter will provide more details about the  
17          actual nuts and bolts of the survey -- if you  
18          want to do it on line, go to this website.  
19          These are things the Commandant did not need to  
20          go into, so we're going to still have our own  
21          version of an invitation letter and those two  
22          would go together. At least that's our vision.  
23          Now I'm not sure how much we've conveyed about  
24          this in the past, but we're not doing the pilot  
25          anymore, that's off the table, the health

1           survey pilot. Instead, we have a two-phased  
2           approach and we call that the base period and  
3           the option period. And the base period  
4           involves mailing out the sur-- mailing out the  
5           health surveys to everyone who we've -- are  
6           able to find current contact information on.  
7           Now those people who've been registering with  
8           the Marine Corps, that's been a recent effort  
9           so hopefully it'll be very easy to get all  
10          their contact information, whatever they've  
11          provided hasn't changed, and if it has, it'll  
12          be very easy to...

13          **MR. PARTAIN:** I mean the point -- to interrupt  
14          you real quick -- those -- you said those are  
15          registered with the Marine Corps? I mean the  
16          registry opened what, 2007? I mean we're  
17          talking three years, and we live in a very  
18          mobile society.

19          **MS. RUCKART:** (Indiscernible), I'm just saying  
20          it should be easier to find those than, you  
21          know, people who we only have DMDC information  
22          on them from like 1975. And as far as the  
23          timing, you know, there was that moratorium on  
24          sending out surveys while the census was going  
25          on, so our -- our goal would be to start

1                    sending out surveys in December this year. So  
2                    like I said, we're on board with the contractor  
3                    now and we're going to be meeting with them.  
4                    Okay, so the base period involves sending out  
5                    the surveys to everybody for whom we can get a  
6                    current address. Everybody will be traced to  
7                    the best extent possible to find their current  
8                    address. Also sending surveys to those  
9                    identified as next of kin, either through death  
10                  certificates or some people are registering as  
11                  next of kin with the USMC. And then employing  
12                  all those methods we discussed as far as repeat  
13                  mailings and telephone contact to get the  
14                  highest response rate possible.  
15                  So that -- there's this other part of the base  
16                  period that involves ATSDR convening an expert  
17                  panel who will meet quarterly. Their purpose  
18                  will be to develop criteria for evaluating the  
19                  quality and validity of the survey information,  
20                  and that would include participation rates  
21                  and def statistical power, and then they will  
22                  determine if the survey has met those criteria  
23                  successfully and make recommendations to the  
24                  agency for how to proceed in terms of  
25                  confirming the self-reported diseases.

1           And that brings us to the option period, so it  
2           -- whatever criteria are developed by this  
3           expert panel are determined to have been met  
4           and the panel recommend that we continue and  
5           the agency concurs, then we will move forward  
6           with obtaining the medical confirmation of the  
7           self-reported diseases, and that part will be  
8           the morbidity study. So we're only going to  
9           move forward with obtaining the medical  
10          confirmations for those who are identified a  
11          priori -- that's the DMDC data cohort members  
12          and those people who are part of the 1999 to  
13          2002 previous ATSDR survey. All of the people  
14          who are registrants only, not also included in  
15          those two databases I just mentioned, will be  
16          analyzed separately.

17          So -- do you want to add anything? Any  
18          questions about the health survey?

19          **MR. BYRON:** For the health survey letter that's  
20          going to go out to ask them to do this, will we  
21          be able to review that letter before it  
22          actually goes out?

23          **MS. RUCKART:** Right, that's what I'm waiting to  
24          hear back from Scott on if we could share that  
25          with you, you know, soon. Our contractor would

1           need to have the final version of the letters  
2           by November 1st to get them out for a December  
3           mailing, and Scott is aware of this and we've  
4           been touching base frequently on it, as  
5           recently as this morning, and he had not heard  
6           back. He assures me he's working on this as a  
7           priority.

8       **MR. BYRON:** You do know of our concern. Is  
9           there any -- 'cause everything that they've  
10          sent out -- well, will it be coming through --  
11          going through your office or through the Marine  
12          Corps?

13      **MS. RUCKART:** Well, they -- they will approve  
14          the letters, but then our contractor will be  
15          mailing them out. The pre-notice will go out  
16          by itself, but then their survey invitation  
17          letter will go out with our survey invitation  
18          letter and the survey. But our contractor will  
19          be sending all of the mailings out.

20      **MR. PARTAIN:** And that will all -- when do you  
21          expect that that -- to start, as far as the  
22          mailings?

23      **MS. RUCKART:** December. Yeah, we're meeting  
24          with our contractor by telephone tomorrow and  
25          in person in early October to really get things

1                   rolling.

2                   **MR. PARTAIN:** Now kind of getting to the  
3                   community part of it, I guess -- say there's a  
4                   community member out there who, you know, come  
5                   January or February hasn't got anything, hasn't  
6                   heard anything, registered with the Marine  
7                   Corps and wants to find out, you know, what's  
8                   going on. How are they going to do that? And  
9                   why they haven't got anything.

10                  **MS. RUCKART:** Well -- so you're saying somebody  
11                  who --

12                  **MR. PARTAIN:** Like for example, I registered --  
13                  say I registered two years ago, and the health  
14                  surveys go out. I anxiously await my health  
15                  survey. January, nothing's there. February,  
16                  nothing's there. And I want to call somebody  
17                  and say why I haven't got my health survey. I  
18                  want to make sure I'm counted. How -- who and  
19                  where am I going to call?

20                  **MS. RUCKART:** Well, I would say one of the  
21                  reasons why a person in that situation may not  
22                  have gotten a health survey is because they're  
23                  -- there can be a problem with the contact  
24                  information they provided. Even if they  
25                  haven't moved, maybe the Marine Corps mis-

1                   recorded it or when the person typed it in they  
2                   made a typo -- who knows? But I guess they  
3                   would have to go back to the Marine Corps and  
4                   the Marine Corps would tell us, or how do you -  
5                   -

6                   **MR. PARTAIN:** Well, they need a pathway or  
7                   something 'cause there are going to be people  
8                   out there who'll be calling.

9                   **DR. BOVE:** I think they probably should try to  
10                  contact us. I mean I -- I think that would  
11                  probably be the best thing to do because it's,  
12                  you know --

13                  **MS. RUCKART:** Well, I thought it was  
14                  (unintelligible) you know. We're going to have  
15                  a website dedicated to the health survey, so  
16                  obviously through your communication channels  
17                  you can publicize that so if there are people  
18                  in that situation, then they can go to the  
19                  website. Then they'll have information for  
20                  contacting us or, you know, Q and A, things  
21                  like that.

22                  **MR. PARTAIN:** Also on this -- I didn't know we  
23                  were going to do a website or you guys were  
24                  going to do a website. Being this day and age  
25                  and the fact that we're in the 21st century, is

1           it possible that people, instead of mailing  
2           back surveys, can go onto the website and --

3       **MS. RUCKART:** Right.

4       **MR. PARTAIN:** -- enter the stuff in?

5       **MS. RUCKART:** Yeah, this is a multi mode  
6           surveyweb purge -- I thought we discussed this  
7           before, but just to remind everybody -- so  
8           everyone will get the survey letters that we  
9           discussed in the mail, and also by e-mail if we  
10          have an e-mail address. But either way, if you  
11          get it in the mail or by e-mail, you can fill  
12          it out on line. So that's -- this is one of  
13          the reasons why we're going to have our own  
14          separate invitation letter, because we're going  
15          to be providing you detailed -- if you want to  
16          fill it out on line, here's the address and  
17          here's your PIN. You have to have your own  
18          personal identification number to make sure  
19          that, you know, you're filling out for you and  
20          there's not going to be duplicates and things  
21          like that. And that'll come just from us  
22          because, you know, the military doesn't need to  
23          get into those little details and have a very -  
24          - one long, lengthy letter. So yeah, we're  
25          going to be accepting them on line and in the

1                   mail. And then the contractor will be checking  
2                   to make sure there's no duplicate, that  
3                   somebody didn't do it two ways. If there are,  
4                   reconciling so we just have one --

5                   **MR. STODDARD:** For those on the phone, we can  
6                   hear a dog barking in the background.

7                   **MR. TOWNSEND (by Telephone):** It's not me, but  
8                   it's a close friend.

9                   **MR. STODDARD:** Tom, could you get your dog...

10                  **MR. TOWNSEND (by Telephone):** Sure.

11                  **MR. PARTAIN:** Going back to -- okay, and I know  
12                  I'm throwing out just hypotheticals, but these  
13                  are real things that are going to be happening.  
14                  I get my survey and my friend Jerry didn't  
15                  register with the Marine Corps and suddenly  
16                  realizes that he's one of them and he wants to  
17                  go on the website and fill out his information.  
18                  How are you going to deal with people like  
19                  that?

20                  **MS. RUCKART:** Okay. Well, I -- somebody could  
21                  not just go on and fill it out, because they'd  
22                  have to have a PIN. If you were to give him  
23                  your PIN, if you already filled it out on line,  
24                  it would come up and say, you know, you already  
25                  filled it out. And then if you've already sent

1           it in --

2       **MR. PARTAIN:** But I'm not getting at that, but  
3       I'm saying how are you going to capture people  
4       who, you know, for lack of a better word,  
5       suddenly had a -- you know, a --

6       **MS. RUCKART:** Right.

7       **MR. PARTAIN:** -- a revelation that oh, this is  
8       important, I need to do something.

9       **MS. RUCKART:** Right.

10      **MR. PARTAIN:** Or you know, frankly, they didn't  
11       believe anything would happen, didn't bother  
12       filling it out or sending it in, and they now  
13       want to participate.

14      **MS. RUCKART:** Right.

15      **MR. PARTAIN:** We don't want to exclude those  
16       people but, you know, we've got to find a way  
17       to capture them, too.

18      **MS. RUCKART:** Right. Well, the data collection  
19       is going to be, you know, a finite period. We  
20       have to have an end date so we can move on with  
21       analyzing. So you know, the data collection  
22       period's going to be like, what, six, eight  
23       months of data collection. So if somebody were  
24       to register during that time, we could take a  
25       rolling type of approach and send out some more

1           health surveys. But at a certain point we do  
2           have to cut it off so that we can move forward.  
3           But the thing would be that, you know,  
4           what~~e~~ver we find should be generalizable to  
5           others who are in that same situation. So if  
6           you personally are unable to fill out the  
7           health survey, when the results come out they  
8           should apply to a person who has similar  
9           exposures to people we are able to include.

10          **MR. PARTAIN:** Yeah, but we want to capture  
11           everybody that's possible to capture --

12          **MS. RUCKART:** Right, right.

13          **MR. PARTAIN:** -- so I mean the point of that is  
14           I just -- human nature and dealing with people,  
15           when these surveys come out, there are, you  
16           know, your skeptics that have been hanging on  
17           the fringes and watching with a skeptical eye  
18           are going to, you know, have second thoughts  
19           and want to be registered. And if these people  
20           are within the time frame that we're collecting  
21           the data, if they can get in there and get  
22           registered, I want to make sure they're  
23           counted, too, because everybody that needs to  
24           be in -- you know, every one that's out there  
25           needs to be counted if we can find them.

1           **MS. RUCKART:** Yeah, well, we can set it up so  
2           that the contractor can get an updated list  
3           from the Marine Corps at a certain point. That  
4           would still allow enough time for us to do our  
5           mail-out process. You know, 'cause there's a  
6           certain number of months that need to be  
7           allotted for that. But you know, it's that  
8           balance of getting as many people as possible,  
9           but having a finite entry so we can get started  
10          analyzing 'cause that's also a big concern of -  
11          -

12         **MR. BYRON:** Yeah, you've got to have an end  
13         point or this could go on forever.

14         **MR. STODDARD:** Yeah, Jeff just said you have to  
15         have an end point.

16         **MR. PARTAIN:** And as far as turnaround, once  
17         everything's collected, the time's closed -- I  
18         mean they're going to be -- people are going to  
19         wonder well, how long is it going to take for  
20         me to hear what's going on; how -- what kind of  
21         turnaround time, once the data is collected?

22         **MS. RUCKART:** Well, the data will -- like I  
23         say, if we start in December, we'll finish up  
24         sometime next summer. And then if we are going  
25         to be moving forward with the confirmation,

1           then you need several months to go through that  
2           process of getting the confirmations of both  
3           the cancers and the non-cancer diseases. But  
4           we have our timeline, if things are moving as  
5           scheduled, we have things ending in the spring  
6           of 2013 as far as, you know, final results. If  
7           -- if things are moving as we hope.

8           **MR. PARTAIN:** Which they haven't since it  
9           started, so --

10          **MS. RUCKART:** Well, you know, that's the thing  
11          -- unfortunately with this project things are  
12          often a moving target, but we try to keep you  
13          in the loop and if there are changes, then we  
14          do -- this is our forum for, you know, sharing  
15          them with you, but this is our best educated  
16          guess and at this point; that's our hope.

17          **MR. STODDARD:** Perri, is there something  
18          specific that you'd like from the community  
19          members that they could do to help with  
20          identifying people?

21          **DR. BOVE:** Well, I think -- you know, as you  
22          publicize, people will -- I hope will register  
23          with the Marine Corps, so -- and the more we  
24          get the word out, then the sooner these people  
25          will be registered, so that's important.

1           Actually, though, I did forget to ask one thing  
2           about the mortality study in particular, and  
3           that is that we still need to have retired  
4           Marines who can remember where their unit --  
5           where units were barracked to give us that  
6           information because there are no records,  
7           apparently -- that's what we've been told,  
8           there are no records to link unit to a location  
9           on base where they were barracked. Most units  
10          were barracked at main side -- okay? So -- but  
11          there's some units that moved around and it  
12          would be good to get some confirmation on which  
13          units were -- were not stationed at main side.  
14          And so that -- that still needs to happen, and  
15          I'm asking for help.

16         **MR. BYRON:** I still have it but I couldn't  
17          understand it all, so I need to get up with you  
18          this week. I'll call you.

19         **DR. BOVE:** Yeah, the other thing is I'm also  
20          interested in any information people might have  
21          about where Marines worked on base, and even  
22          where most of the civilians worked on base. I  
23          have been told that I can expect that most  
24          civilians worked at main side, but if I can get  
25          other information -- again, this is -- this

1                   isn't information that's written down anywhere.  
2                   There's very little records on this, so -- or  
3                   any records on it, so again, we're going to  
4                   have to rely on people's memories, so again,  
5                   anyone that you know that was there during the  
6                   study period, it could be helpful in getting at  
7                   least that kind of information. I'd also ask  
8                   the Marines, of course, for this and now I'm  
9                   asking everybody. It's part of the local  
10                  knowledge we talked about earlier.

11                  **MS. RUCKART:** There are -- there are some other  
12                  ways that you can help specifically with the  
13                  health survey. You could be encouraging people  
14                  to respond as quickly as possible, because if  
15                  we can shorten the amount of time it takes to  
16                  get completed surveys returned from most  
17                  everybody, then we can move forward with the  
18                  other phases and, you know, that'll help us  
19                  stick to our timeline. So I would urge you to  
20                  encourage everybody to respond -- first of all  
21                  to respond, so you get a high participation  
22                  rate; to respond quickly; and also not to share  
23                  the PIN, because that could get confusing if  
24                  people are sending in a paper version and  
25                  sharing the PIN with, you know a relative.

1           Then we're going to have to be reconciling the  
2           two different versions so, you know, if we  
3           could just get that out.

4           **MR. BYRON:** So there'd be a PIN for each  
5           veteran or each individual even family member?

6           **MS. RUCKART:** Well, with the family members  
7           they're only going to get the survey if they  
8           register, so each person will get their own PIN  
9           number.

10          **MR. ENSMINGER:** Why don't you just make  
11           perishable PINS? Once it's used, it's dead.

12          **MS. RUCKART:** Right. The problem is, if  
13           somebody sends it in on paper, there's no PIN  
14           involved, and then if they share the PIN --  
15           yeah, it -- that's what will happen. You go in  
16           -- enter the PIN once after it's -- until it's  
17           been submitted, then that PIN's no longer  
18           valid, but it would be if you're sharing it  
19           because you've completed your paper version.

20          **MR. BYRON:** And this is Jeff again --

21          **MR. ENSMINGER:** Don't use the PIN.

22          **MS. RUCKART:** Well, there's been a lot of  
23           research on this and some people apparently  
24           prefer the mail version and you get higher  
25           response rates when you mail, so we're offering

1                   it both ways. I don't think we want to limit  
2                   it, and you know, cut out a whole segment of  
3                   the population that wants to do paper.

4                   **MR. BYRON:** So my question was is we can get on  
5                   our website and say be looking for your health  
6                   survey starting in December? Is that what  
7                   you're saying now?

8                   **DR. BOVE:** Don't do that yet. Let us tell you  
9                   when -- I mean we are hoping -- the goal is to  
10                  get it out in December. When we saw some of  
11                  the proposals from the contractors, all across  
12                  the board they were making noises about later  
13                  than that, so we'll have to work with this  
14                  contractor and see -- get them out as soon as  
15                  possible. It may not be this -- this year. It  
16                  may be early next year, so -- so don't put  
17                  anything out there yet.

18                  **MR. BYRON:** Okay. That'll work.

19                  **DR. BOVE:** One of the things I want to make  
20                  absolutely clear so you understand this is that  
21                  there's very -- there are two parts to this  
22                  study, if you will. Or one way to put it is  
23                  there's the health survey, and then there's the  
24                  morbidity study. Okay? And the health survey  
25                  is sending out health surveys to everybody --

1                   everybody, anybody that, you know, registered  
2                   or we have DMDC data information on or they  
3                   participated in the ATSDR survey back in 1999-  
4                   2002. So that's the first part of this effort.  
5                   As the survey goes out, we encourage people to  
6                   participate, so on and so forth.

7                   While this is going on there's an expert panel.  
8                   This was decided by us -- an expert panel that  
9                   would be meeting on a quarterly basis, and they  
10                  would meet first early during the process of  
11                  the survey to develop criteria for what would  
12                  be considered a successful survey, what would  
13                  be considered good enough so we would continue  
14                  with the morbidity study, which is the second -  
15                  -- second part of this thing. Okay? So -- so  
16                  you're all clear about that.

17                  So they'll come up with criteria in their first  
18                  meeting, and as the result -- as the surveys  
19                  come in, they'll be meeting to determine  
20                  whether it looks good for the morbidity study  
21                  or not. After we've gotten all the surveys in,  
22                  a final determination -- or close to the --  
23                  when we get it all in, a final determination by  
24                  this expert panel will be made as to whether  
25                  they recommend moving forward with the

1           morbidity study or not. And then the ag-- our  
2           agency will take the recommendations into  
3           account and make a decision as to whether to do  
4           the morbidity study.

5           The morbidity study's key, though. It's one  
6           thing to get the health surveys and to tabulate  
7           those results, but there's self-reported  
8           diseases, and in the scientific community self-  
9           reported diseases are not looked on as -- as  
10           credible information as much as -- nowhere near  
11           as much as diseases that have been confirmed by  
12           medical records. So the key as to whether --  
13           and the morbidity study, the focus of that is  
14           to confirm the self-reported diagnoses, self-  
15           reported diseases, of those people who are in  
16           the DMDC database or those people in the ATSDR  
17           1999-2002 survey. Not the registrants, but --  
18           unless they're also in one of these databases I  
19           just mentioned.

20           So just so you all understand, it's  
21           complicated. It can be confusing. It's  
22           confusing sometimes to our own people. But for  
23           this effort -- maybe I should say that -- for  
24           this effort to have scientific credibility, you  
25           really do have to confirm those diagnoses. So

1           then you really do -- we really would have to  
2           complete this -- the morbidity study. But  
3           again, as I said, if the survey participation  
4           rate's low, if it looks like the expert panel  
5           thinks there's too much bias, they may  
6           recommend not to do -- go forward with the  
7           morbidity study, just so you all know that.  
8           Okay?

9           And that's how it's been set up. I -- we  
10          certainly want to encourage the contractor to  
11          do their best effort, because if there is no  
12          morbidity study, they don't get the second half  
13          of their money, so there is an incentive for  
14          the -- the contractor to go -- go to the -- you  
15          know, as far as they can possibly go to get a  
16          good participation rate from Pendleton, from  
17          Lejeune, from all different age groups and so  
18          on and so forth that -- and certainly -- so  
19          that's -- so just so you know.

20          **MR. STODDARD:** So Frank, you mentioned that if  
21          there was a bias, there might be a problem.  
22          Can you explain what you mean by bias?

23          **DR. BOVE:** Yeah, the key bias is what we call  
24          selection bias. Okay? And that is the people  
25          -- and in this case it would be -- it could be

1           those at Lejeune who are diseased participate  
2           more than those without disease. That's one  
3           possibility.

4           Another possibility is the Pendleton people who  
5           aren't diseased, healthy, don't respond -- or  
6           some combination of that. Okay? So there are  
7           methods that we'll be using to see how much of  
8           a bias, you know, there could be before we --  
9           the results could be believable.

10          We could even -- there are ways to at least  
11         simulate that, just -- not that different from  
12         what Morris is doing when he's -- when he's  
13         doing simulations looking for kind of  
14         uncertainties in the water model. So we'll be  
15         doing that.

16          But there's no guarantee that -- you can have a  
17         high participation rate and still have a strong  
18         bias, and you can have a very low participation  
19         rate and not have a bias, so they're not  
20         correlated exactly -- or even close sometimes.  
21          So there are -- so there -- these  
22         considerations: what the participation rate  
23         is; whether it looks like that only certain  
24         groups are participating and other groups  
25         aren't; and the third issue is, for every

study, do we have enough statistical power.

2           Okay? So those would probably be the three key  
3       things the expert panel will think about.

Now the expert panel -- and we'll have a say on  
who is on the expert panel. I specifically  
want experts in survey research. I've asked  
Dick Clapp actually for some recommendations --  
or they have to be epidemiologists who have a  
survey research background as well. That's  
what I'm hoping, to pull together three or four  
people with that kind of skill set so that --  
and that they meet quarterly. And I think it's  
good -- I like the idea of them meeting  
quarterly and giving us advice about how to  
analyze the data and interpret the results. I  
always like to hear from other epidemiologists.  
You always learn something when you talk to  
other epidemiologists, so --

22                   **DR. BOVE:** Right. Well, as I said, I basically  
23                   asked Dick. I mean that's who I'm -- you know,  
24                   that's part of the CAP.

25 All right. So that's -- that's -- I just

1                   wanted to make sure you understood all that,  
2                   that --

3                   **MR. STODDARD:** So what are the -- what are the  
4                   implications I hear, or what you're saying, is  
5                   that not only do you want the CAP community  
6                   folks to go out and beat the bushes for people  
7                   who've suffered some sort of illness, but also  
8                   for the entire community.

9                   **DR. BOVE:** You know, I think the CAP has done a  
10                  terrific job on getting the word out about Camp  
11                  Lejeune, and they should continue that, but  
12                  that's -- you know, that's -- you know, that's  
13                  the best thing you can do. If you hear of  
14                  problems during the survey, I think we need to  
15                  be told. So if Mike -- Mike hears something,  
16                  for example, he was bringing up some examples  
17                  earlier in this discussion, it would be  
18                  important for us to know what's going on --  
19                  that people aren't getting their surveys or  
20                  something else is going on. That might help --  
21                  we might be able to fix that problem in  
22                  midstream, if necessary. So those are the  
23                  kinds of things -- and again, I mentioned I  
24                  talked to Jeff earlier, local knowledge.  
25                  Again, for the mortality study in particular

1           that's important. Not so much for the health  
2       survey 'cause we ask more questions in the  
3       health survey. In the mortality study we don't  
4       ask any questions. We don't talk to the people  
5       at all in the mortality study. So -- you know,  
6       so local knowledge is going to be important to  
7       the mortality study. But that's -- those are  
8       the kinds of things the CAP can do.

9                   **MR. STODDARD:** Okay, any other questions on the  
10                  health survey?

11 | (No response)

12 MR. STODDARD: Ready to move on?

13 DR. BOVE: I wanted to say one other thing  
14 that's connected to the studies. Is it on the  
15 agenda? Yeah, male breast cancer, but this  
16 could also be true of any situation where a  
17 cluster or at least a possible cluster --  
18 potential cluster, however you want to frame it  
19 -- comes up. In the case of male breast  
20 cancer, we still don't know if it's a cluster.  
21 The question of whether it's a cluster or not  
22 may not be that interesting, though. More  
23 importantly is the question, is there an excess  
24 related to drinking water contamination at Camp  
25 Lejeune. So we hope to address that to some

1           extent in the mortality study, although we  
2       realize that power -- statistical power's going  
3       to be very low for male breast cancer in the  
4       mortality study. There's nothing we can do  
5       about it. But the health survey could provide  
6       an answer, just like it could provide an answer  
7       for other cancers. Okay?

8       But there are other options we could pursue if  
9       -- if -- and again, I laid out some of these to  
10      Dr. Portier. I also laid them out to Dr. Falk  
11      as well. So I thought I would just quickly go  
12      through some of the options so at least you can  
13      think about it. I don't know if Dick Clapp's  
14      on the phone or not, but if he isn't I'll get  
15      this to him, too. And there may be some other  
16      ideas, too. Again, you ask epidemiologists if  
17      they can come up with other ideas, that's  
18      great, too, so -- but what I thought were  
19      possibilities were -- first of all, to treat it  
20      as a cluster investigation in the sense of  
21      getting all the information you can from the  
22      cases. So it's more like a case series  
23      investigation sometimes people would say. And  
24      then -- that is, you make sure first of all  
25      that they do have the disease. Okay? So some

1 verification process. And then you get other  
2 information from them -- what was their age at  
3 diagnosis, do they have a family history, where  
4 did they work and what did they work with --  
5 occupational history. Other risk factors that  
6 may be -- that we -- we either suspect or know  
7 are associated with male breast cancer -- not  
8 too many of them, but there are some.  
9 Activities at the base, a line -- in fact,  
10 anything we can get from the person about what  
11 they did on base, where they lived, where they  
12 worked, other activities, anything they could  
13 have come in contact with at the base that  
14 might have -- they think might have had  
15 something to do with the disease. Get that  
16 from each case, and then see -- just like a  
17 detective -- what links these people together.  
18 Now right now what links them all together is  
19 Camp Lejeune. Right? But is there specific  
20 things about what they did at Camp Lejeune that  
21 links them together? Are there other risk  
22 factors besides the -- not besides the drinking  
23 water, in addition to the drinking water, I  
24 should say precisely. Right? So that -- that  
25 would be a case series, and that would give us

1           some information, just like a detective would  
2           investigate it. It won't tell us whether there  
3           is a cluster or isn't.

4           In order to figure out whether there is a  
5           cluster, that would be extremely difficult, and  
6           I'm not sure after you've answered that  
7           question how far you've gotten. Now that we  
8           know there's a cluster, we still don't know  
9           why. In order to answer the question of  
10          whether there's a cluster you have to have --  
11          you'd like to have complete ascertainment. You  
12          couldn't do that by the media. You can't do  
13          that by word of mouth. You have to have some  
14          kind of way, objective way, of getting complete  
15          ascertainment. Now states have cancer  
16          registries. There's a VA cancer registry;  
17          there's a DoD cancer registry. If you got them  
18          all involved you might be able to get complete  
19          ascertainment, but that would be a hell of a  
20          job, and then you still wouldn't know what the  
21          denominator is, the underlying population that  
22          gave rise to the cases. So trying to answer  
23          that question is so difficult, I don't know  
24          that it's worth trying to answer it, but it's a  
25          possibility. Okay?

1           So -- so there's treating it as a cluster and  
2           doing what we do in cluster investigation --  
3           you either do a case series investigation or  
4           try and answer the question of whether it is or  
5           is not a cluster. That's one type of effort.  
6           The second thing is to wait for the results of  
7           the two studies. We're doing two studies,  
8           let's see what the results are. If there's an  
9           excess of male breast cancer in the health  
10          survey, for example, excess of kidney cancer in  
11          the mortality study, excess of -- whatever, and  
12          we want to get more information because there  
13          are -- we're not sure about the exposure  
14          exactly, we want more information on that.  
15          More likely we want to rule out certain types  
16          of risk factors that people think might be  
17          confounders, you would -- you could do a nes--  
18          what they call a nested case control study.  
19          You can take the cases of kidney cancer,  
20          whether exposed or not, take all of them and  
21          take a random sample of the rest of the people  
22          in the study and do a -- and do interviews. So  
23          that's possible.  
24          For male breast cancer in particular -- we're  
25          asking questions in the health survey about

1 generic issues like how much they smoked, how  
2 much they drank. We're not asking a lot of  
3 other questions that you would want to ask if  
4 you were focused specifically on male breast  
5 cancer. There are a whole lot of risk factors  
6 you probably might ask if you were doing a  
7 study of male breast cancer that we can't ask  
8 in the survey because then we'd have to ask  
9 additional -- a lot of questions, not only on  
10 male breast cancer but kidney cancer, so on and  
11 so forth. There are different risk factors,  
12 you know, you'd want to put in and the survey  
13 would very quickly become unmanageable and the  
14 participation rate would go down to zero. So  
15 if you want to -- we want to focus more on male  
16 breast cancer or some other cancer, we might  
17 want to do this approach with something called  
18 a nested case control study where you -- you do  
19 interviews and get additional information.  
20 Okay? So that's another option.  
21 The third option, which is still something  
22 we've talked about internally as a possibility,  
23 but we've put it on the back burner, was -- and  
24 this is focused on cancer only -- was to do  
25 what we call a data linkage cancer incidence

1           study. Okay? Now in the mortality study we  
2           can do everything without interviewing anybody.  
3           We have their Social Security number, we have  
4           their date of birth, some people we have names.  
5           We can go to Social Security, we can go to the  
6           National Death Index, find out what they died -  
7           -- every -- every -- we don't have to talk to  
8           anybody. Right?

9           To do this same kind of study with cancer  
10          incidence would require all 50 state cancer  
11          registries, or most of them, involving -- plus  
12          the VA plus the DoD cancer registries. Okay?  
13          The Gulf War cancer incidence study used -- I  
14          can't remember how many, 20 or so cancer  
15          registries. They -- the cancer registries --  
16          the state cancer registries will not give us or  
17          anybody else data -- at least some of them,  
18          some of the states, many of the states --  
19          unless the patient in the case has given his or  
20          her consent. Okay? That -- that would -- that  
21          means you can't do any length of study, you  
22          can't do this thing.

23          So the only way around that, and the VA did  
24          this, was to ask not for identifier  
25          information, but just whether the case was

1                         exposed or not -- how many cases were exposed  
2                         or not and categories. And without giving the  
3                         VA the name of the person or anything that  
4                         identifies the person, the cancer registries  
5                         were able to supply the VA with enough  
6                         information for them to be able to answer the  
7                         question: was being in the Gulf War and being -  
8                         - at a certain time and maybe even a certain  
9                         activity, did -- was that related to your  
10                         cancers. And I think we could try to do the  
11                         same approach.

12                         We'd have to -- again, we've been talking to  
13                         the state cancer registries because of the  
14                         health survey, we want them involved in the  
15                         health survey to help us confirm cases, but we  
16                         may be able to pull this thing off, too. And  
17                         so again, that's something later, but that's a  
18                         third possibility.

19                         And then the fourth possiblity~~ties~~, is that the  
20                         VA -- in fact, I just came across an article  
21                         yesterday, the VA has done new work on male  
22                         breast cancer. They had a previous study three  
23                         or four years ago they published, and they just  
24                         published one this -- actually in the last  
25                         month or two, I think, and so I just came

1           across it, so it -- you know, one of these e-  
2           publications before it hits the -- a hard copy  
3           journal, they put it on electronically.  
4           Anyway, where they looked at some risk factors  
5           for all of the male breast cancers in the VA  
6           service population. In fact I had it somewhere  
7           -- if I can pull it out real quick... .

8       **MR. PARTAIN:** Yeah, did they happen to mention  
9           how many of the male breast cancers were  
10          marines in that population?

11       **DR. BOVE:** No. No, again, this is the problem  
12          with this -- this study. So you know, they had  
13          over four and a half million men -- okay? --  
14          and there's 642 cases of male brea-- primary  
15          male breast cancer. And --

16       **MR. ENSMINGER:** Out of how many thousand?

17       **MR. PARTAIN:** How many men?

18       **DR. BOVE:** 4.5 million at age 18 to a hundred -  
19          - well, see, it's a large dataset. This is --  
20          this is the nice thing about this. This  
21          literally just came out. And they looked at  
22          the usual risk factors for male breast cancer.  
23          There's something called Klinefelter's  
24          Syndrome, it's a genetic syndrome. There's  
25          some particular diseases related to male --

1           that -- predispose you to male breast cancer:  
2           diabetes, obesity, alcohol, some of these risk  
3           factors that have been talked about in the  
4           past, they looked at those. Of course they  
5           looked at age. But they did not give us any  
6           information in this study or in the previous  
7           study on which service -- they gave a lot of  
8           information on other diseases a person might  
9           have. I can see a whole list of them here.  
10          But not on service or where they were stationed  
11          or anything of the sort.

12          So the fourth proposal would be to ask the VA  
13          and see if they can't get that information  
14          somehow. Now that may be to do a ca-- nested  
15          case control study of this population, what did  
16          I say, 640 cases?

17          **MR. PARTAIN:** Yeah, 642.

18          **DR. BOVE:** And do a nested case control -- get  
19          all those male breast cancer cases, take a  
20          random sample of the rest of the VA population,  
21          and ask these kinds of questions: were they at  
22          Camp Lejeune; where did they -- where did they  
23          serve; Army, Navy, when, you know, that kind of  
24          information. Or they -- they might be able to  
25          -- with the official information, they have a

1                   Social Security number on these people, they  
2                   can go to DMDC, maybe they can do it that way  
3                   if they didn't want to enter into a nested case  
4                   control study and do an interview. But the  
5                   fourth proposal is for the VA to use its  
6                   information on male breast cancer and see if  
7                   they can't investigate these things further.  
8                   It may not be that -- it may be interesting not  
9                   only to look at Camp Lejeune, but to just in  
10                  general look at environmental exposures or  
11                  occupational exposures in general.

12                  **MR. PARTAIN:** Well, certainly --

13                  **DR. BOVE:** We don't know -- there's so much we  
14                  don't know about male breast cancer. There's a  
15                  recent study I found, just came out, with the  
16                  occupations in male breast cancer, and --

17                  **MR. ENSMINGER:** Mike?

18                  **MR. PARTAIN:** I was going to say since, you  
19                  know, this new article, and I was aware of the  
20                  past article, but maybe you guys can request --  
21                  since Brad's sitting here -- from the VA if  
22                  they can identify, of the 642, how many of  
23                  those are marines, and then try to back, you  
24                  know, locate to see if these guys are from Camp  
25                  Lejeune.

1           **DR. BOVE:** I mean I don't know what data they  
2 have. This is the study.

3           **MR. PARTAIN:** You know, last year the Marine  
4 Corps told CNN that, according to their  
5 figures, they should have 400 men from, you  
6 know, Camp Lejeune, so maybe 400 of the 642 are  
7 marines from Camp Lejeune.

8           **MR. ENSMINGER:** Careful what they're wishing  
9 for.

10          **DR. BOVE:** I mean the -- there is one other  
11 possibility. This was one that Dr. Portier  
12 mentioned to me. He wanted me to see what  
13 other researchers were doing on male breast  
14 cancer and ask them to add a component to their  
15 studies. That I wasn't really able to  
16 accomplish. I don't know what other  
17 researchers are doing out there. I did check  
18 NIH; I checked NIH and NIEHS. There are breast  
19 cancer initiatives but they're not necessarily  
20 focused on male breast cancer, and so I don't  
21 know what other researchers are doing. I don't  
22 know how to actually do that, to find out  
23 exactly what they're doing, other than going to  
24 the usual places where they get funding, which  
25 is NIH, so -- so that I don't know, but I do

1 know that there's -- the VA does have this data  
2 and --

3 **MR. PARTAIN:** Well, the article that you  
4 mention is citing risk factors and what-have-  
5 you, but they're overlooking huge risk factors  
6 in environmental exposure to contaminants.

7 **DR. BOVE:** Right, these are the risk -- they're  
8 not looking even at the ones that have come up  
9 in occupations, such as radiation, heat -- heat  
10 -- working in blast furnaces, there are a  
11 couple of other ones -- I think working with --  
12 exposure to PAHs. So there are some out there.  
13 Again, there's not a whole lot of literature,  
14 so -- you know, so that's one thing. But  
15 again, they did -- they did get information on  
16 a lot of information and I'm trying to see if  
17 they -- if they actually interviewed these  
18 people. I just got this article yesterday.  
19 They have -- I think it's a record -- they have  
20 this information in their medical record. I  
21 think that's what they have. And that's -- you  
22 know, if they weren't -- I don't see any  
23 interviews. They had no contact with patient.

24 **MR. FLOHR:** I have not seen this either.

25 **DR. BOVE:** Yeah, we had no contact with

1           patients. So this is -- this is from the  
2       medical record that the VA has, and so they can  
3       find out information -- there's a lot of  
4       information, fractures, for example -- so their  
5       medical record, their complete medical record  
6       is probably on line.

7           (Off-microphone comments amongst the panel.)

8       **DR. BOVE:** This study is on line -- it's --  
9       they give you the reference -- it's -- instead  
10      of giving you the reference, why don't I just  
11      send it to you? Yeah, I'll e-mail you this.

12      **MR. PARTAIN:** Could I see that one while we're  
13      talking?

14      **DR. BOVE:** Yeah, sure.

15      **MR. STODDARD:** For the benefit of people who  
16      are watching on line, Frank, could you give us  
17      the reference?

18      **DR. BOVE:** I'll send it to all the CAP members  
19      on line and the reference -- I don't have --

20      **MR. STODDARD:** They can post it up.

21      **DR. BOVE:** Yeah. You would probably need to  
22      have a subscription to get it. I don't -- if  
23      you're not a CAP member, I -- if anyone wants a  
24      copy out there, then they can e-mail me at  
25      ATSDR and we'll send you a copy; how's that?

1                   **MR. STODDARD:** Okay. Thank you. Go ahead.

2                   **MR. PARTAIN:** And the point -- you know, when  
3                   we're talking about the male breast cancer  
4                   issue, I mean the -- the point of the matter is  
5                   -- I mean in these rare cancers such as male  
6                   breast cancer and, you know, kidney cancer, all  
7                   this stuff that we're seeing, you know, that --  
8                   male breast cancer's not the only thing we're  
9                   seeing out of Camp Lejeune. And you know,  
10                  before all this complex science that leads to  
11                  nowhere, the existence of rare cancers  
12                  appearing from a specific location would seem  
13                  to be an indication of an environmental hazard  
14                  in the past. So I mean the fact that we're  
15                  seeing all this and we're seeing other cancer  
16                  clusters, you know, kidney, thyroid, non-  
17                  Hodgkin's lymphoma, leukemia, and go on and on,  
18                  there's an indication there. And I'm just  
19                  concerned that, you know, we're going to get  
20                  this studied to death here as far as the issue.  
21                  I mean the issue is people were exposed, and  
22                  now you're talking the occupational exposure.  
23                  Well, we have children who weren't working on  
24                  the base -- I mean as far as I know, you know,  
25                  we weren't working in the motor pool and, you

1 know, we weren't working on main side. We were  
2 exposed to the contaminated water. There are  
3 men in the cluster, you know, I want to talk to  
4 them, ask them where they were stationed, what  
5 they did. We've got guys who were corps men,  
6 who were working in the -- engineers,  
7 maintenance battalions and stuff like that, so  
8 they had occupational exposures as well as the  
9 living exposures. Like I said, there are guys  
10 who have, such as the guys in engineering,  
11 maintenance battalions, but there were corps  
12 men who didn't have an occupational exposure  
13 other than working in a hospital. So I mean  
14 we're all over the place, what have you. But  
15 it just -- I just wanted to point that out.

16 **DR. BOVE:** Yeah. No, I'm not interested in  
17 studying anything to death. And I don't want  
18 to do a study that I think is guaranteed to  
19 fail or pos-- you know, and so -- but I was  
20 thinking more of -- again, if there's some  
21 interest in male breast cancer, these are the  
22 kinds of things I would suggest people think  
23 about approaching. I'm not advocating for any  
24 of these approaches right now. I'm just  
25 throwing ideas out so that you have a sense of

1           what could be done.

2           The cancer incidence study that we did put on  
3           the back burner because we didn't know how to  
4           deal with the issue of the state cancer  
5           registries giving us information when they need  
6           consent forms from everybody. But given that  
7           there's a possibility around that, and if the  
8           survey -- if the survey does not work, if -- if  
9           the -- if our expert panel says you shouldn't  
10          go forward, and my agency agrees with that --  
11          okay? -- so all we have are a lot of surveys  
12          but it doesn't have much scientific oomph to  
13          it, then the cancer incidence study becomes a  
14          real -- maybe -- may be worthwhile pursuing.  
15          And so that's -- that is a possibility still  
16          there, even -- forgetting about male breast  
17          cancer and the other cluster -- possible  
18          cluster, that's a full back study that could be  
19          done, looking at cancer incidence, if the  
20          survey doesn't work.

21          And the survey may not work. I mean with the -  
22          - the history right now available, the practice  
23          of mailed surveys, or even web-based surveys,  
24          is that participation rates are very low.

25          | (Indiscernible)The Millennium cohort the

1                   military did, I think the participation rate's  
2 somewhere in the 30 percent range, 30 to 40  
3 percent range. The World Trade Center Site, it  
4 was published at 20-something percent  
5 participating in the exposed group and like 12  
6 or 13 percent in the unexposed group. This is  
7 -- this is the kind of reality we're facing is  
8 people are not interested in filling out these  
9 things. And so there is a possibility the  
10 survey may not be helpful here, so keep that in  
11 mind. And if that is the case, I'd like to try  
12 to pursue the cancer incidence data linkage  
13 approach, if we can get the cancer registries  
14 in and the federal cancer registries to go  
15 along with it.

16                  **MR. STODDARD:** Okay. So Frank, you've  
17 described five different approaches that you  
18 think need addressing. I know that Mary Ann  
19 has to -- is packing up, she has to catch a  
20 flight so she's about to take off. Mary Ann, I  
21 noticed you nodding several times while Frank  
22 was speaking about these studies, and I was  
23 wondering if you could tell us -- at least tell  
24 me -- what was that about? What were you  
25 agreeing to as he was...

1           **MS. SIMMONS:** I wasn't agreeing to anything. I  
2 just was -- I understood what he was saying, so  
3 no agreement, I just understood what he was  
4 talking about. And for me, understanding an  
5 epidemiologist is reason to shake my head.

6           **MR. STODDARD:** Okay. So do you have something  
7 --

8           **MR. MENARD (by Telephone):** Mary Ann, could you  
9 answer my question about the Marine Corps'  
10 position on the press at CAP meetings?

11          **MS. SIMMONS:** You know what? I can't. I don't  
12 know -- I don't have any background information  
13 except what Dr. Sinks said. The -- the  
14 incident I'm aware of is when we came to a CAP  
15 meeting and there was the press doing the  
16 documentary interview, and nobod-- we -- none  
17 of the DoD people knew about it ahead of time  
18 and that was a part of the contention. But  
19 other than that, I don't know. And you know,  
20 quite frankly, these are all aired. This is on  
21 the Internet right now. I assume somebody  
22 who's smarter than I am, knows how to do You  
23 Tube or something, you know, so this is all  
24 public, so that's -- that's -- the degree of my  
25 knowledge.

1           **MR. MENARD (by Telephone):** Okay.

2           **DR. BOVE:** Tom Sinks pointed out to you that  
3           this does have a camera and so (unintelligible)  
4           other people or not.

5           **MR. BYRON:** This is Jeff. I never got the  
6           opinion that they were nervous about the media  
7           as much as they were offended by what was said  
8           by a couple of us in the CAP meeting. And to  
9           be honest with you, if that's the reason they  
10           didn't show up, I'm glad they weren't at Iwo  
11           Jima during World War II 'cause we would have  
12           lost.

13           **MR. STODDARD:** But we can't know what they were  
14           thinking without asking them directly, so --  
15           okay, thank you, Mary Ann. So you were  
16           understanding what --

17           **MS. SIMMONS:** Yeah, that I was totally -- not  
18           disagree, just understanding.

19           **MR. STODDARD:** Your understanding. Okay, thank  
20           you very much.

21           All right. Any other questions about these  
22           studies that have been described?

23           **MS. SIMMONS:** I just had one question, and  
24           Frank, maybe you said this, where is the expert  
25           panel supposed to be set up, or is it, or...

1                   **DR. BOVE:** One of the things we're -- we're  
2                   having this call tomorrow with the contractor.  
3                   One of the things that was in the statement of  
4                   work -- I think, I don't remember -- the  
5                   statement of work wasn't exactly what we  
6                   wanted, but it was -- was that they would --  
7                   the contractor would set up the panel, so  
8                   that's still the job of the contractor. You  
9                   know, some of the contractors actually offered  
10                  their opinion as to who should be on it. Some  
11                  of the contractors -- at least one contractor  
12                  actually put forward some interesting people,  
13                  which I think would be good choices, but I  
14                  don't remember this contractor, whether they  
15                  did or didn't. But regardless of whether they  
16                  did or didn't, we will have some say as to  
17                  who's on it. I, again, asked Dick Clapp --  
18                  Dick Clapp's already given me a name and we'll  
19                  ask the Navy and Marine Corps as well to  
20                  nominate someone. Again, I'd like the person  
21                  to have -- be an epidemiologist or a survey  
22                  researcher, and the ideal is someone who has  
23                  done both.

24                   **MS. SIMMONS:** But you don't know when this  
25                  might happen?

1           **DR. BOVE:** Well, the --

2           **MR. STODDARD:** I'm sorry, could you ask that  
3           question again on the mic?

4           **MS. SIMMONS:** I just asked did -- did he know  
5           when -- I mean it's -- soon, not so soon?

6           **DR. BOVE:** I think it -- I think it needs to  
7           happen by -- certainly sometime this spring  
8           because -- because we'd like to have them  
9           meeting -- the idea was to have them meet  
10           before a lot of the surveys go out so they  
11           develop a criterion first, so they don't see  
12           anything coming in yet but they come up with  
13           criteria.

14           **MS. RUCKART:** So if the surveys get mailed out,  
15           at the earliest, in December, they could meet  
16           prior to that because their meeting to develop  
17           the criteria is not dependent on any results of  
18           the survey, so they could meet as early as, you  
19           know, November/December, and then be meeting  
20           after that as results are coming in. So there  
21           may be a meeting this year. This would be --  
22           if they're going to be quarterly, this would be  
23           the first quarter the contract is awarded, so I  
24           -- I would anticipate a meeting later this  
25           year. But again, after we have our conference

1           call and our face-to-face in October, all of  
2           these details will be more fleshed out.

3           **DR. BOVE:** Yeah, if there's a choice between  
4           getting them moving on getting the surveys out  
5           or getting this expert panel together, I would  
6           want them to get moving on the survey. So  
7           again, I'm not so sure when the panel will  
8           meet. We'll let you know -- we'll let you know  
9           because we're going to ask you for  
10           recommendations.

11           **MR. ENSMINGER:** How do you spell this  
12           contractor, Westat?

13           **MS. RUCKART:** W-e-s-t-a-t, Westat. They're out  
14           of Rockville, Maryland.

15           **DR. BOVE:** They've done an extensive amount of  
16           epidemiological studies for the government, all  
17           parts of the government, CDC, as well as has  
18           done contract work with academic institutions.

19           **MS. RUCKART:** Well, Frank, one thing we should  
20           mention -- this probably came up in the past at  
21           some point, but they actually were the  
22           contractor who took on where Nordic\*NORC left  
23           off with the case control study. They did the  
24           interviews in 2005 for the birth defects and  
25           childhood cancer study. But it's going to be a

different group of people because this is a different type of project. That was their telephone interview staff mainly, and this is a mail survey, so it'll be different...

**MR. STODDARD:** I can tell you Westat's been supporting the National Health and Nutrition Examination survey since at least the early '80s, so they're very qualified to be...

**MR. ENSMINGER:** No, I'm not talking about that, I'm talking about the main contractor that is (unintelligible)...

**MR. STODDARD:** Other questions about the surveys -- or studies?

( No response )

**MR. STODDARD:** All right. It's 2:27. We've actually gotten through the meat of the agenda. I'd like to take us back to the bike rack. I promised I'd get back to that.

## **WRAP-UP**

The first item on that is the question from Dick about how to pass on best requests for assistance with VA packets. He's gotten several requests since the Congressional testimony. Jim, you offered to help with that, and --

1           **MR. FONTELLA:** Well, I think that he was  
2           looking for a different type of help. He said  
3           he was looking for more like --  
4           **MR. STODDARD:** Can you use your --  
5           **MR. FONTELLA:** -- a professional --  
6           **MR. STODDARD:** Use your microphone.  
7           **MR. FONTELLA:** I think that he was looking for  
8           more of a professional type person, a medical  
9           person, a neurologist he was talking about. I  
10          thought he was looking for somebody to kind of  
11          guide somebody -- of -- how to file a claim, to  
12          talk to the DAV, to look for a service officer,  
13          that's what I -- how I read it, and I was  
14          wrong. So you might want to scratch that.  
15          **MR. STODDARD:** Okay.  
16          **MR. FONTELLA:** I think that's -- am I right?  
17          **DR. BOVE:** Well, he did mention  
18          neurotoxicologists, for example, and there  
19          aren't (unintelligible). I mean we could get  
20          him some (unintelligible).  
21          **MR. STODDARD:** Okay. So I guess what I'm  
22          asking is there -- is there somebody who can --  
23          maybe I need clarification on this.  
24          **DR. BOVE:** Maybe I should talk to Dick and  
25          flesh that out.

1           **MR. STODDARD:** So -- so Frank will get  
2           clarification.

3           The second opinion came up -- that's on the  
4           bike rack was why did we have armed guards, and  
5           we'd still like an answer to that question. Is  
6           there somebody you want to explore that and  
7           find out --

8           **MR. ENSMINGER:** I keep hearing people refer me  
9           to "they, they, they" well, hell, they --

10          **MR. STODDARD:** Use your mic, Jerry.

11          **MR. ENSMINGER:** Everybody constantly refers to  
12           "they did that" -- they, they -- well, who the  
13           hell are "they"? You know, I want "they" in  
14           here to explain to me why they -- why they  
15           pulled that. I mean that's unacceptable. I  
16           mean, it happened.

17          **MR. STODDARD:** Okay. So this was at the last  
18           meeting?

19          **MR. ENSMINGER:** Yes.

20          **MR. STODDARD:** Okay. So is there somebody  
21           that'd be willing to find out why there was an  
22           armed guard at the last meeting?

23          **MR. ENSMINGER:** I don't know. You'd have to  
24           ask the bureaucracy, and they weren't here.

25          **MR. STODDARD:** Perri, Frank?

1           **MS. RUCKART:** All we can do is elevate this to  
2           our management and they can try to find out  
3           because -- oh, Caroline, you --

4           **MS. MACDONALD:** I'll try to find out. I mean I  
5           really have no clue why there was emergency --

6           **MS. RUCKART:** Well, I mean I have some e-mails  
7           that references like a (indiscernible) but it  
8           doesn't give like the actual point person who  
9           made that decision.

10          **MR. PARTAIN:** Maybe it's because it was the  
11           French. The French were here last meeting.

12          **MR. ENSMINGER:** Yeah, the damned frogs.

13          **MR. STODDARD:** So Perri, you've got the lead on  
14           that and Caroline's going to help you with  
15           that.

16          **MS. RUCKART:** The reverse; Caroline has the  
17           lead and I'm going to help her with it.

18          **MR. STODDARD:** Okay. Thank you very much.  
19           All right, so I want to follow up with -- y'all  
20           have had a lot of great conversation, a lot of  
21           information shared. We've had some suggestions  
22           come up -- captured and captured in the -- the  
23           transcriber's going to capture them. I  
24           particularly want to follow up on the action  
25           items to make sure these were ac-- to be

1                   translated -- this into a plan so that we have  
2                   somebody that's responsible for each of these  
3                   pieces.

4                   The first one Dr. Portier committed to,  
5                   following up with communications with the VA on  
6                   ATSDR disagreement with the NRC report. I  
7                   think we heard from the VA they heard about  
8                   that, but we do have that commitment from Dr.  
9                   Portier.

10                  Dr. Portier also agreed to follow up on why  
11                  there were no cameras at CAP meeting.

12                  We have a request to get Tom a copy of the  
13                  draft document on governance via fax. Who's  
14                  going to take responsibility for that? Perri  
15                  will? Okay.

16                  CAP will provide water treatment operation  
17                  content information to Morris, and Jerry --  
18                  Jerry, you said you had somebody that you would  
19                  recommend to Morris for that?

20                  **MR. PARTAIN:** Yeah, I've already sent him an e-  
21                  mail.

22                  **MR. ENSMINGER:** Yeah, yeah, yeah.

23                  **MR. PARTAIN:** I've already sent -- I've already  
24                  sent...

25                  **MR. STODDARD:** You say you did?

1           **MR. PARTAIN:** I've already done it.

2           **MR. STODDARD:** Okay, great. And then --

3           **MR. ENSMINGER:** That's done. You can cross  
4           that off. It's completed.

5           **MR. STODDARD:** Excellent. So the CAP -- there  
6           was a question, the CAP asked for information  
7           about where units were barracked and where  
8           people -- civilians particular -- worked.

9           **MR. BYRON:** I said I would handle that last  
10          time but I didn't understand the handout that  
11          you handed me so I'll get with you this week  
12          and I'll handle that on our website.

13          **MR. STODDARD:** Did you capture that?

14          **COURT REPORTER:** Yes, sir.

15          **MR. BYRON:** I didn't understand the forms as  
16          Jeff -- but when Frank gave it to me at the  
17          last meeting that we were present at, and I'll  
18          get with him this week and get that on our CAP  
19          and ask that question to the members.

20          **MR. STODDARD:** Okay, so Jeff's going to follow  
21          up on that, great. Super.

22          So that's what I've captured in terms of action  
23          items.

24          **MR. MASLIA:** Just one -- one other one, if I  
25          might.

1                   **MR. STODDARD:** Morris?

2                   **MR. MASLIA:** Asked earlier today and I guess  
3 I'll ask either the CAP or Mary Ann or somebody  
4 to pass the word on. It's with reference to  
5 making people get copies of the 3-set DVDs of  
6 the UST. As it turns out -- I mean we have all  
7 the files, but one of our DVDs that we were  
8 burning from is now scratched, so it's not  
9 going to copy it. I pulled somebody off for a  
10 day and a half just to make six copies. We  
11 cannot do that anymore, and so I'm asking to  
12 facilitate, however anybody wants to, for the  
13 Navy and Marine Corps to either make them live  
14 -- that's a big download -- or to make some  
15 duplicate sets. Or else amend the APOW for FY  
16 11 and get -- get some money in here that --  
17 that -- the machinery to do that, but I really  
18 do not think you want me pulling water modelers  
19 off, duplicating DVDs, and right now a 3-set  
20 DVD takes well over an hour to duplicate and  
21 you've got to have somebody baby-sit the  
22 computer wa-- watching it, and so it's a  
23 logistical issue that I don't want to seem like  
24 I'm not responding to you or not wanting to  
25 provide the -- the -- you know, the DVDs, but I

1           saw right away today -- I thought I could do it  
2           real quickly but it became very problematic.

3           **MS. SIMMONS:** And I'll certainly bring that  
4           back, but couldn't you just put those on your -  
5           - that information on your website so --

6           **MR. MASLIA:** It's four -- it's one DVD -- one  
7           DVD is 4 point something gigs --

8           **MR. PARTAIN:** 4.62.

9           **MR. MASLIA:** Yeah, so to download three --  
10          three of them, you're talking about 12-plus  
11          gigs. That does not download very quickly,  
12          even on a T-1 line, which we have, much less a  
13          DSL line. We start getting into that line  
14          size, you know, lines dropped and all that.  
15          That's not necessarily the best -- best way to  
16          -- to do that -- do that.

17          **MR. STODDARD:** Morris, I believe Jeff has a...

18          **MR. BYRON:** Yeah, this is Jeff Byron. My  
19          understanding is that the library of documents  
20          is no longer on the Marine Corps' website and  
21          we'd like to know when that'll be back up, and  
22          I don't understand why you can't just put the  
23          rest of them up there and let everybody get  
24          them as they want. Thank you.

25          **MR. STODDARD:** Is that a question to Mary Ann

1                   or...

2                   **MR. BYRON:** Yeah.

3                   **MR. ENSMINGER:** Well, I mean we have an action  
4                   item up there, a suggestion.

5                   **MR. STODDARD:** We have a suggestion.

6                   **MR. ENSMINGER:** And you know, that -- the  
7                   Marine Corps pulled their library of documents  
8                   down after the Congressional hearing in June of  
9                   2007, shortly after that, and they never came  
10                  back up. And those were just CERCLA and CLW  
11                  documents, I believe. I can't remember what --  
12                  what all they -- I don't know if they...

13                  **MS. RUCKART:** Lander, before Mary Ann leaves I  
14                  want to see if we can talk about the date of  
15                  the next meeting while we still have her here.

16                  **MR. STODDARD:** Okay, great.

17                  **MR. ENSMINGER:** Okay. Well, that's all I had  
18                  to say about that.

19                  **MR. STODDARD:** Mary Ann, was there something  
20                  you want to say in response to the posting step  
21                  up...

22                  **MS. SIMMONS:** Oh, I'll -- I'll check into it  
23                  and get back to the CAP.

24                  **MR. STODDARD:** Okay, date of the next meeting.  
25                  You want to go ahead and cover that, Perri?

1           **MS. RUCKART:** Well, it was requested that we  
2           plan the next meeting while we're at our  
3           current meeting so we don't have to have long  
4           lag times between meetings and a lot of back  
5           and forth, so let's just go ahead and plan as  
6           if the next meeting will be here in Atlanta, in  
7           Chamblee, in December, and just go with the  
8           dates that I have proposed. And of course if  
9           something changes, we'll just have to go with  
10           it at that point, or maybe that'll be the case  
11           for meetings after December, but if you still  
12           want to go ahead with setting the December  
13           meeting now, I think we need to go with the  
14           dates I've sent you and our room availability  
15           here at Chamblee.

16           So the dates I sent out so everyone could check  
17           their calendars and we could select a date  
18           today are December 7th, 8th, 9th, and 13th.

19           **MR. ENSMINGER:** What days of the week are they?

20           **MS. RUCKART:** I'm not sure, they're all over.

21           **MR. BYRON:** Whatever Thursday falls on is best.

22           **MR. MENARD (by Telephone):** All right, Tuesday  
23           is the 7th, Wednesday is the 8th, Thursday's  
24           the 9th, and the 13th is Monday.

25           **MS. RUCKART:** Thank you. Let me say one thing.

1           Christopher Stallard is available all of these  
2           dates as well, although he said on the 7th he --  
3           he said that he has like a regular standing  
4           call, 8:30 to 9:30. It wouldn't be a huge  
5           problem, but he preferred not the 7th, but he  
6           could do the 7th if, you know, that was the  
7           best date for everyone else.

8           **MR. ENSMINGER:** I propose the 9th.

9           **MR. BYRON:** Part of the problem is is if you  
10          work you need it either on Thursday so you're  
11          only missing Wednesday and Thursday, or you  
12          need it on a Monday, and you're still going to  
13          miss your family on Sunday to get here. So I  
14          work and I've been catching nothing but grief  
15          for these meetings for about the past year  
16          because this has gone on for -- you know, I've  
17          been at this ten years, only five with the CAP,  
18          but my boss is getting kind of aggravated, and  
19          I know Mike's is.

20          **MR. STODDARD:** So what day would work best for  
21          you, Jeff?

22          **MR. BYRON:** Thursday --

23          **MR. STODDARD:** Thursday --

24          **MR. BYRON:** -- the 9th.

25          **MR. ENSMINGER:** Thursday the 9th.

1                   **MR. STODDARD:** -- the 9th. So we have a  
2 preference for the 9th.

3 All right, so the proposal is for the 9th.  
4 Okay.

5                   **MR. PARTAIN:** Morris, while we're sitting here  
6 I wanted to ask you something I forgot to ask  
7 earlier about the -- the golf courses. Did you  
8 guys do any research on like what a  
9 championship golf course would require in  
10 water, in this type of climate, as far as just  
11 looking -- looking out there? I know we can't  
12 historically reproduce it, but that would be an  
13 indicator.

14                  **MR. MASLIA:** No, because now that we have this  
15 -- the manufacturer of the sprinkler, the rated  
16 capacity of the sprinkler, it doesn't matter  
17 what they want to water, it's limited by the  
18 rated capacity of the sprinkler.

19                  **MR. PARTAIN:** What about the frequency of  
20 watering, though?

21                  **MR. MASLIA:** Well, that's -- we've got  
22 institutional knowledge, which would be far  
23 better than -- that gets back into this issue,  
24 do you want to go with some national average or  
25 whatever you want to go with local

1           institutional knowledge. We've got the golf  
2           course manager -- I don't know if we're  
3           supposed to mention any names or not, but  
4           that's -- I've forgotten his name -- who's been  
5           there I think since the late -- late '80s. He  
6           was there before they put the wells in. Okay?  
7           So that's -- that's the best first-- first-hand  
8           knowledge. If I had to go to any other place,  
9           I'd go to another military base, not a  
10          championship golf course.

11         **MR. BYRON:** This is Jeff. You'd probably have  
12         to look at water tables for the year to -- to  
13         see if they needed to water as often or not.

14         **MR. MASLIA:** Well, we could look at -- we could  
15         look at climatic precipitation data, in other  
16         words -- and we will -- we will be doing that,  
17         but remember, this is not a continuous record.  
18         We have actual events when they turned on the  
19         booster pump. That would be -- they would --  
20         and a concept is they would have turned on the  
21         booster pump -- that's pump 742 -- at the  
22         interconnection, which is all we're looking at,  
23         in response to having to still water the golf  
24         course but still keep the tanks at the high  
25         level for fire protection. So that -- that

1                   limits us. The key was finding the sprinkler  
2                   information. That really reduces a level of  
3                   uncertainty tremendously by just having to rely  
4                   on water supply wells, because water supply  
5                   wells, all we could do was do it at the rated  
6                   capacity, and then we'd get in this discussion  
7                   -- well, how did they operate the wells. We  
8                   have now removed that uncertainty from the  
9                   equation totally, and all we do -- and in fact,  
10                  Jason has worked up the numbers for the  
11                  sprinkler heads, the gallon, pass it on to the  
12                  Marine -- or the golf course operator. He said  
13                  those numbers were right on, and he even said -  
14                  - gave Jason his estimate of what hours they  
15                  would have sprinkled, and -- and that's -- I  
16                  mean short of having meters, which there are no  
17                  meters at Camp Lejeune, that's as best as we  
18                  can come and I think that -- that's probably  
19                  more accurate information than we've got in a  
20                  lot of other -- other places. So that's --  
21                  that's what we're going with. The reason we  
22                  asked you for infor-- if we could tie down, if  
23                  somebody has some recollection of specifically  
24                  turning on the sprinklers and things like that,  
25                  who were there from the '60s through the '80s,

1           but since the current golf course manager was  
2           there in the middle to late '80s, you know,  
3           we'll -- we'll go with that.

4           **MR. STODDARD:** Okay, does that --

5           **MR. MASLIA:** It has --

6           **MR. STODDARD:** -- answer your question?

7           **MR. MASLIA:** -- nothing to do with the pressure  
8           'cause they were using -- they maintained --  
9           because we do know this, they maintained  
10          pressures at -- at Lejeune I think 60 psi at  
11          night and 55 during the day, and we verified  
12          that. We verified that when we did the field  
13          test, because I can tell you we had to open up  
14          to do -- (unintelligible) had to open up three  
15          hydrants to get any pressure drop down at  
16          Snead's Ferry and -- I forget which street it  
17          is -- it is there to do the -- because we could  
18          not get the pressure to drop enough -- okay? --  
19          because they operate with full tanks all -- all  
20          the time, so I know hence, even today, that --  
21          that is still the -- the modus operandi of 60  
22          psi at night, 55 during the day.

23           **MR. STODDARD:** Okay.

24           **MR. MENARD (by Telephone):** Perri, this is  
25          Allen, I've got a question for you. Is Westat

1                   involved with any government contract with the  
2                   DoD at this time?

3                   **MS. RUCKART:** I have no idea what contracts  
4                   they have. You know, they're a separate entity  
5                   than us and they have probably hundreds of  
6                   contracts going at any one time.

7                   **MR. MENARD (by Telephone):** Okay. 'Cause you  
8                   know, I'm concerned about a conflict of  
9                   interest here. You know, they're studying this  
10                  and if they're hired by DoD for something else,  
11                  I -- you know, it's -- that kind of concerns me  
12                  a little bit.

13                  **MS. RUCKART:** Well, what happens is there's an  
14                  objective review process that occurs here to  
15                  select the contractor, so whoever's interested  
16                  in our announcement puts -- submits their bid  
17                  and then it gets carefully reviewed here and,  
18                  you know, that's how we have an objective  
19                  process.

20                  **DR. BOVE:** One thing to remember: They're not  
21                  analyzing the data, they're not interpreting  
22                  the results; we are. Okay? So they are -- the  
23                  contractor is there to collect the information  
24                  for us, but then that's as far as it goes.  
25                  Their job is done.

1           **MR. STODDARD:** Thank you, Frank. Any other  
2 agenda that needs to be covered?

3 Perri, you have an announcement, or request?

4           **MS. RUCKART:** Just an announcement. If  
5 everyone could return their travel voucher as  
6 soon as possible, we're closing in on the end  
7 of the year -- end of our fiscal year,  
8 September 30th. We need to have all the travel  
9 in by then so that you can get paid --  
10 reimbursed, I mean.

11          **MR. ENSMINGER:** Do we have funding for next  
12 year's yet? We do?

13          **MS. RUCKART:** Yeah, they --

14          **MR. PARTAIN:** Perri, kind of looking ahead to  
15 the next CAP meeting, since we have funding and  
16 everything, is there any way that you guys  
17 could arrange our hotels and pay for them in  
18 advance rather than us pay up front and wait to  
19 get reimbursed? It'd be a major help for those  
20 that have families and work and stuff.

21          **MS. RUCKART:** Well -- yeah, previously this  
22 issue had come up when the CAP was first  
23 created, and we were able to not set up your  
24 hotels, but we were able to give you, yeah, an  
25 advance. And at some point it was decided that

1           was not going to be possible anymore for us to  
2           give you a travel advance. And Caroline is  
3           shaking her head no, in terms of I'm sorry, but  
4           that's just not possible. But one way to  
5           expedite the process is to get your travel  
6           vouchers in as soon as possible so you can get  
7           reimbursed as soon as possible.

8       **MR. PARTAIN:** Well, I mean I say that because  
9           last time -- yeah, we take a loan to come to  
10          CAP meetings. But the reason I say that,  
11          'cause the last couple -- I know funding was an  
12          issue with being funded on your part, but I  
13          turned my travel in within three or four days  
14          of leaving here and it took over a month to get  
15          the money back.

16       **MS. RUCKART:** Yeah, Caroline, do you have  
17          anything you want to say about that? I'm not  
18          involved in processing travel.

19       **MS. MACDONALD:** It shouldn't take that long for  
20          you to get reimbursed.

21       **MR. PARTAIN:** Okay.

22       **MS. MACDONALD:** And now that we're at the end  
23          of the fiscal year, if in fact you can get it  
24          in quickly, we have to process it quickly  
25          because end of the fiscal year is next

1                   Thursday.

2                   **MR. STODDARD:** Yes, Tom?

3                   **DR. SINKS:** Could we just go back to the annual  
4                   plan of work, because at this point, you know,  
5                   we are negotiating to get it signed before  
6                   October 1st and it isn't signed yet. And one  
7                   of the issues will be we're all going to be  
8                   under a continuing resolution. We know there  
9                   won't be a budget signed. Department of Navy  
10                  and USMC have conveyed -- Department of Navy  
11                  and USMC have conveyed to us that they're very  
12                  interested in agreeing to -- you know, in  
13                  funding us fully for what our needs are for the  
14                  next year. I don't foresee any problem like we  
15                  had last year when we knew there was going to  
16                  be an issue. But it will just be an issue of  
17                  making sure that, you know, all the language is  
18                  exactly the way it needs to be. And we've let  
19                  the Navy know that one of the priorities for,  
20                  you know, assuring funding will be the next  
21                  CAP, and the early things that have to be  
22                  funded in this fiscal year. I don't think  
23                  we'll have a problem, but it isn't at this  
24                  point signed.

25                  **MR. STODDARD:** That's the status. All right,

1                   any other agenda items?

2                   **MS. BRIDGES (by Telephone):** I don't know if  
3                   this is an agenda item. This is Sandra  
4                   Bridges.

5                   **MR. STODDARD:** Hello, Sandra.

6                   **MS. BRIDGES (by Telephone):** Hi. Is anything  
7                   being done regarding the survey, that '99 to  
8                   2002 survey with the spouses and the children -  
9                   -- dependents if the children were born in  
10                  uterus? I know that's not -- we're not -- I  
11                  realize why we're not discussing that now. I  
12                  fully realize it's not the time, but I hate  
13                  for, you know, not anything to be being done  
14                  right now.

15                  **MR. STODDARD:** Perri?

16                  **MS. RUCKART:** Well, Sandra, the cases of neural  
17                  tube defects, oral clefts and childhood  
18                  metapaoetic\*hematopoietic cancers that were  
19                  identified through that survey, and a sample of  
20                  parents with children who do not have those  
21                  conditions were interviewed in spring of 2005,  
22                  and we have cleaned and edited the interview  
23                  data from the epi side. The whole reason that  
24                  we haven't been able to finalize that analysis  
25                  is because of everything that's been happening

1           with the water modeling. So that is what we  
2           call the case control study of birth defects  
3           and childhood cancers, and that will be  
4           completed once we get the water modeling data.  
5           Currently we're planning to get some  
6           preliminary data from Morris next summer --  
7           summer of 2011 -- and then we would hope to  
8           finalize that in March 2012.

9           **MS. BRIDGES (by Telephone):** 2012?

10          **MR. PARTAIN:** Yeah, thanks, Sandra, you just  
11          reminded me about something I wanted to bring  
12          up about the mortality study. The in utero  
13          study is limited to cancers diagnosed before  
14          the age of 19. Since we already have the  
15          population base identified in the in utero  
16          study, what about adding the in utero kids into  
17          the mortality study and looking at the  
18          mortality rates for the children born at the  
19          base?

20          **MR. STODDARD:** Frank is making thinking noises.

21          **MS. BRIDGES (by Telephone):** Or other  
22          disabilities that they have, because they --  
23          they're passing that on to their children. By  
24          the time 2012 --

25          **MR. PARTAIN:** Well, they -- the health study

1           will capture the other disabilities, but the  
2           mortality study -- I'm just curious since it's  
3           already -- that's ongoing and something that's  
4           in process, and we already have that dataset  
5           identified, why not look at the mortality while  
6           you're in looking at the service network?

7           **DR. BOVE:** The simple reason is that we don't  
8           have Social Security numbers on those people.  
9           We had -- that's the key reason why we didn't  
10          look at mortality on them. The other reason is  
11          that they -- that would -- there would be very  
12          few deaths in that population, to begin with,  
13          but the key reason is that we have clean cohort  
14          Social Security number and date of birth and  
15          some have names, so that's the mortality study.  
16          As for determining what -- whether these people  
17          died who were in the 1999-2002 survey, we have  
18          to find that out as part of the health survey  
19          because we do not want to send the survey to  
20          someone who died. So we will be obtaining  
21          information. We could -- this is something  
22          we'll negotiate with our contractor. We could  
23          get information -- not only whether the person  
24          died who was in that survey population, but  
25          what they died of. It is possible. Because

1           again, we're going to find out whether --  
2           whether they died or not, and we'd like to send  
3           -- if they did die, we'd like to send the  
4           survey to the next of kin. So in order to do  
5           those two pieces, it wouldn't be that much more  
6           work to find out what they died of, and so we'd  
7           have that at least for the survey part. We'd  
8           have the deaths. Whether we want -- again,  
9           we'd have difficulty with who we would compare  
10          them to, but we could compare it to a general  
11          U.S. population. We could do -- we could --  
12          the number of deaths would be small.

13          **MR. PARTAIN:** Or should be small. That's the  
14          key, 'cause they should be small.

15          **DR. BOVE:** They would be because most of them -  
16          - because they were interviewed in 19-- they  
17          were interviewed in 1999-2002 --

18          **MR. PARTAIN:** Well, I know one, May of this  
19          year just died --

20          **DR. BOVE:** Right, there would be --

21          **MR. PARTAIN:** -- and he's dead at 32 years old.

22          **DR. BOVE:** -- there will be deaths. There will  
23          be deaths.

24          **MR. PARTAIN:** Yeah, but of cancer, he died of  
25          cancer, and I mean there -- there are --

1           **DR. BOVE:** There will be deaths of cancer, too.  
2           I'm not saying there won't be any deaths. I'm  
3           saying they'll be extremely few in number.  
4           Okay? So it'd be hard to really do much with  
5           that data anyway.

6           **MR. PARTAIN:** Right, but if there's an elevated  
7           death rate of the kids who were born at  
8           Lejeune, then there's a problem.

9           **DR. BOVE:** Well, we can look -- as I said, we  
10          can -- we -- we will -- originally we weren't --  
11          we were talking about getting -- finding out  
12          who died in any of the populations -- of course  
13          we'll have all the deaths on the DMDC sites.  
14          Where we don't have deaths is on the people who  
15          registered and we'll assume that they're alive  
16          and the people in the 1999-2002 survey. So --  
17          so those -- those people who died in the 1999-  
18          2002 survey, we'll want to get information that  
19          they died and we'll want to get the next of kin  
20          information. In order to get the next of kin  
21          information we'll need a death certificate.  
22          Okay? With a death certificate we know cause  
23          of death. Okay? And so we'll see how many  
24          deaths there are and if it makes sense to do  
25          some comparisons with the U.S. population, we

1           could do that. That's -- we haven't thought  
2           about doing that because we expected to see so  
3           few. If we're wrong, then -- then we could do  
4           that. We'll have the wherewithal to do that.  
5           But we need to discuss this with our contractor  
6           because this was not brought up in any of the  
7           statement of work that -- that we had with  
8           them. What we did say is we want to identify  
9           who died so that this survey gets mailed to --  
10          doesn't get mailed to someone who died, but we  
11          didn't go into getting death certificates for  
12          these people, but they would have --

13          **MR. PARTAIN:** You're talking about the  
14          mortality study. Correct? For --

15          **DR. BOVE:** The mortality study, I want to keep  
16          it clean. The mortality study is the DMDC  
17          database and the -- and using the Marines and  
18          the civilians, because that's where the deaths  
19          will occur. That's where the lion's share of  
20          deaths will occur, and any inferences we want  
21          to make will be -- will be -- we'll have a good  
22          basis for making them because there's enough  
23          statistical power -- okay? -- there, except for  
24          male breast cancer, and some of the female  
25          cancers. But even for female breast cancer

1           we'll be able to make some statement. So it's  
2           -- it's clean. It's -- it's a good study the  
3           way it is. Now --

4           **DR. SINKS:** If I could just add, one other  
5           thing Frank mentioned is that, because of the  
6           way the mortality study works, you have to send  
7           a list of Social Security numbers and last  
8           names through the National Death Index to  
9           identify the deaths in a standardized way. I  
10          don't believe you have the information for that  
11          group --

12          **DR. BOVE:** For some of the -- some of this --  
13          the respondent, the person who responded to the  
14          1999-2002 survey, about two-thirds to three-  
15          quarters also provide their Social Security  
16          number. That's the respondent. Okay? What we  
17          have also in that database is the respondent's  
18          date of birth and name. We have the child's  
19          date of birth and name, and the father's date  
20          of birth and name. With date of birth and name  
21          you can send it to the National Death Index.  
22          However, it's going to be difficult for them to  
23          do a unique match. Okay? With a Social  
24          Security number you're much -- you're -- you're  
25          all set. Okay? But for those -- with just

1           those two piece of information, you can try a  
2           match, but it may not be a unique match. You  
3           may get a lot of junk back. Okay?

4           All right. So there are difficulties. That's  
5           why I don't want to add them to the study, but  
6           I -- I still am -- I understand your concern  
7           and I think we can try to address it in the way  
8           I'm suggesting, is that we have to find out  
9           whether these people are dead or not before we  
10          send out a survey. And if we want to send a  
11          survey to their next of -- once you find out  
12          they're dead, if you want to send it to their  
13          next of kin, we need to get the death  
14          certificate. Okay? And once we have their  
15          death certificate, we have cause of death. So  
16          we could take a look at that. We could do it.  
17          Again, this is something we want to negotiate  
18          with.

19         **MR. PARTAIN:** Yeah, it just seems much easier,  
20         though -- I mean much easier to get the data  
21         that's needed by going to the National Death  
22         Index rather than waiting for people to provide  
23         death certificates. They may not have them,  
24         may not want to --

25         **DR. BOVE:** No, we would go to the state.

1           **MR. PARTAIN:** The state? Okay. It just seems  
2           like it'd be easier to do it that way rather  
3           than rely on the families. You might be able  
4           to find next of kin. Next of kin may or may  
5           not want to participate or, you know, can't  
6           produce a death certificate. It just --

7           **DR. BOVE:** They don't have to produce a death  
8           certificate, if -- if we want to send a survey  
9           to a next of kin, we have to get the death  
10           certificate and we have to go to the state to  
11           get that. We're going to do that for the  
12           mortality study anyway for those who died.  
13           Okay? So we're going to get death certificates  
14           and -- and so that's not the issue. The issue  
15           is whether to lump them in with the mortality  
16           study. I don't want to do that -- initially,  
17           at least -- because of some of the things Tom  
18           just said and some of the things I'm saying.

19           You're not looking at many deaths. Who are you  
20           going to compare them to? And what -- what are  
21           we going to be able to say that we can't say  
22           with the mortality study itself as -- as --

23           **MR. ENSMINGER:** You're not going to have a  
24           control study.

25           **DR. BOVE:** Well, I mean, again, I could compare

1           -- you can always make comparisons to the U.S.  
2 population. There's no reason -- no problem  
3 with that here 'cause they're not a veterans'  
4 group, they're -- right? So no, I'm just  
5 saying I don't know if we're going to get that  
6 much information out of it that we won't be  
7 able to have a stronger statement we can make  
8 from the mortality study itself. Okay? I just  
9 don't expect to see that many deaths that we'll  
10 be able to say something about it. I have a  
11 feeling that what we'd be able to say about  
12 that we could say much stronger with the  
13 mortality study. I guess that's what my point  
14 is. So I'm not saying we're not going to do it  
15 at all. I'm just saying that that was not our  
16 original thought, that -- that we would try to  
17 -- also try to do a mortality study of  
18 dependents because of the sparseness of data.  
19 Okay? And because we'd have to do something  
20 different with them than we're doing with  
21 everybody else. Everyone else we have a Social  
22 Security number on. Okay? And we could do an  
23 NDI search for that and get clean -- a much  
24 cleaner match.

25           **MR. ENSMINGER:** All right.

1           **DR. BOVE:** Okay?

2           **MR. STODDARD:** All right. We've got ten  
3           minutes left.

4           **MR. ENSMINGER:** Well, I just want to make an  
5           announcement that the day after tomorrow, the  
6           24th of September, will be the 25th anniversary  
7           of my daughter Janie's death, 25 years.

8           **MR. STODDARD:** Okay. Thank you. Let me do a  
9           process check in just a minute here. The CAP  
10          in general, these meetings, how is that going  
11          for y'all? Is it working? Is -- are you guys  
12          getting what you need? Is the CAP getting what  
13          you need? ATSDR getting what you need?

14          **MR. TOWNSEND (by Telephone):** Tom Townsend  
15          here.

16          **MR. STODDARD:** Tom?

17          **MR. TOWNSEND (by Telephone):** I am --

18          **MR. STODDARD:** Can barely hear you, Tom.

19          **MR. TOWNSEND (by Telephone):** I appreciate  
20          having the representatives from the Veterans  
21          Administration there. They seem to be more  
22          forthcoming than previous stand-ins, and I  
23          think the meeting went quite well today. And  
24          thanks for your moderation. Thanks again.

25          **MR. STODDARD:** Thanks for that input, Tom.

**MS. BRIDGES (by Telephone):** And I agree with Tom.

**MR. STODDARD:** Thanks, Sandra. Anybody else?

(No response)

**MR. STODDARD:** All right. Tom Sinks, as a representative of the agency, would you like to say anything?

8 DR. SINKS: Well, I'm never shy to say  
9 anything. In fact, I usually say far too much.  
10 But I would just like to thank the individual  
11 members of the CAP, the CAP as a whole. I  
12 think this project would not be where we are  
13 today without your help. You guys have been --  
14 guys and gals, excuse me, have been  
15 extraordinarily helpful for us navigating the  
16 rough waters of Camp Lejeune and getting  
17 through it, and I think you all know how  
18 helpful you've been and it's -- it's very clear  
19 to all of us here. And so thanks again and we  
20 continue to appreciate your support and your  
21 constructive criticism. They're both needed.

**MR. STODDARD:** Thank you. And with that, we are adjourned.

(Meeting adjourned at 3:00 p.m.)

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Sept. 22, 2010; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 6th day of Nov., 2010.

---

**STEVEN RAY GREEN, CCR, CVR-CM, PNSC****CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**

2

3